

1 TP.ONE

2 DESIRAE S. JURA

3 HVC134550

4 INTERVIEW OF: JANET WOODCOCK, M.D.

5 Monday, May 13, 2024

6 U.S. HOUSE OF REPRESENTATIVES,

7 COMMITTEE ON OVERSIGHT AND ACCOUNTABILITY,

8 SELECT SUBCOMMITTEE ON THE CORONAVIRUS PANDEMIC,

9 WASHINGTON, D.C.

10 The Interview Commenced at 10:03 a.m.



28	Exhibits	
29	Majority Exhibit No.	Page No.
30	1 - Email communication	69
31	2 - Email communication	71
32	3 - CNN Article, FDA grants priority	
33	review to Pfizer/BioNTech Covid-19	
34	vaccine; decision on approval	
35	expected by January 2022	83
36	4 - Memorandum for All Department of	
37	Defense Employees, Subject:	
38	Message to the Force	97
39	5 - Memorandum for Senior Pentagon	
40	Leadership Commanders of the	
41	Combatant Commands Defense Agency	
42	and DOD Field Activity Directors,	
43	Subject: Mandatory Coronavirus	
44	Disease 2019 Vaccination of	
45	Department of Defense Service	
46	Members	99
47	6 - FDA News Release, Joint Statement	
48	from HHS Public Health and Medical	
49	Experts on COVID-19 Booster Shots,	
50	August 18, 2021	101
51	7 - Considerations in boosting	
52	COVID-19 vaccine immune responses	103

53		Exhibits Continued	
54	Majority Exhibit No.		Page No.
55	8 - The New York Times, Coronavirus		
56	Briefing: What Happened Today		106
57	9 - The New York Times, "All vaccines		
58	have at least occasional side		
59	effects. But people who say they		
60	were injured by Covid vaccines		
61	believe their cases have been		
62	ignored."		108
63	10 - CBER Sentinel Program Sufficiency		
64	Memorandum		119
65	11 - National Academies, Evidence		
66	Review of the Adverse Effects of		
67	COVID-19 Vaccination and		
68	Intramuscular Vaccine		
69	Administration		124
70	12 - BMJ.com, Is the US's Vaccine		
71	Adverse Event Reporting System		
72	broken?		132
73	13 - Medical Summary, Case Number:		
74	2021101980		137

75 P R O C E E D I N G S

76 Mr. Spectre. Go ahead and go on the record.

77 BY MR. SPECTRE.

78 Q This is a transcribed interview of Dr. Janet Woodcock  
79 conducted by the House Select Subcommittee on the Coronavirus Pandemic  
80 under the authority granted to it by House Resolution 5 and the rules  
81 of the Committee on Oversight and Accountability. This interview was  
82 requested by Chairman Brad Wenstrup, as part of the Select  
83 Subcommittee's oversight of the federal government's response to the  
84 coronavirus pandemic.

85 Further, pursuant to House Resolution 5, the Select Subcommittee  
86 has wide ranging jurisdiction, but specifically to investigate the  
87 development of vaccines and treatments, and the development and  
88 implementation of vaccination policies for federal employees and  
89 members of the Armed Forces, and executive branch policies,  
90 deliberations, decisions, activities, and internal and external  
91 communications related to the coronavirus pandemic.

92 Can the witness please state her name and spell her last name for  
93 the record?

94 A Janet Woodcock, W-O-O-D-C-O-C-K.

95 Q Thank you. Dr. Woodcock, my name is Peter Spectre, and I  
96 am a professional staff member for the Majority staff of the Select  
97 Subcommittee. I want to thank you for coming in today for this  
98 interview. The Select Subcommittee recognizes that you are here  
99 voluntarily and we appreciate that.

100 Under the Select Subcommittee and Committee on Oversight and  
101 Accountability's rules, you are allowed to have an attorney present to  
102 advise you during this interview.

103 Do you have an attorney representing you in a personal capacity  
104 with you today?

105 A No, I do not.

106 Q Is there an attorney present representing the Department  
107 with you today?

108 A My understanding --

109 Mr. Cooke. Yes.

110 Mr. Spectre. Will counsel please identify themselves for the  
111 record?

112 Mr. Cooke. Perrin Cooke, senior counsel at HHS.

113 Mr. Spectre. For the record, starting with the Majority staff,  
114 can the additional staff members please introduce themselves with their  
115 name, title, and affiliation?

116 Mr. Benzine. Mitch Benzine, staff director for the Majority  
117 staff.

118 Mr. Osterhues. Eric Osterhues, chief counsel for the Majority  
119 staff.

120 [REDACTED]. [REDACTED], chief counsel for the  
121 Minority staff.

122 [REDACTED]. [REDACTED], Democratic staff director.

123 Ms. Tsilker. Yelena Tsilker, senior adviser for oversight at  
124 HHS.

125 Ms. Raveendran. Manasi Raveendran, senior adviser for oversight  
126 at FDA.

127 Mr. Spectre. Thank you.

128 BY MR. SPECTRE.

129 Q Dr. Woodcock, before we begin, I would like to go over  
130 some of the ground rules for this interview.

131 The way this interview will proceed is as follows: The Majority  
132 and Minority will alternate asking questions, one hour per side per  
133 round, until each side is finished with their questioning. The  
134 Majority staff will begin and proceed for an hour, and then the  
135 Minority staff will have an hour to ask questions. We will then  
136 alternate back and forth in this manner until both sides have no more  
137 questions.

138 If either side is in the middle of a specific line of questions,  
139 they may choose to end a few minutes past an hour to ensure completion  
140 of that specific line of questioning, including any pertinent  
141 follow-ups. In this interview, while one member of staff from each  
142 side may lead the questioning, additional staff may ask questions.

143 There is a court reporter taking down everything I say and  
144 everything you say to make a written record of the interview. For the  
145 record to be clear, please wait until the staffer questioning you  
146 finishes before you begin your answer, and the staffer will wait until  
147 you finish your responses before proceeding to the next question.

148 Further, to ensure the court reporter can properly record this  
149 interview, please speak clearly, concisely, and slowly, and I will try

150 to do that as well.

151 Also, the court reporter cannot record any nonverbal answers,  
152 such as nodding or shaking your head, so it is important that you  
153 answer each question with an audible verbal answer.

154 Exhibits may be entered into the record. Majority exhibits will  
155 be identified numerically and Minority exhibits will be alphabetically.

156 Do you understand?

157 A Yes, sir.

158 Q We want you to answer in the most complete and truthful  
159 manner possible, so we will take our time. If you have any questions  
160 or do not fully understand the question, please let us know and we will  
161 attempt to clarify, add context to, or rephrase our questions.

162 Do you understand?

163 A Yes.

164 Q If we ask about specific conversations or events in the  
165 past, and you are unable to recall the exact words or details, you  
166 should testify to the substance of those conversations or events to the  
167 best of your recollection. If you recall only a part of the  
168 conversation or event, you should give us your best recollection of  
169 those events or parts of conversations that you do recall.

170 Do you understand?

171 A Yes.

172 Q Although you are here voluntarily and we will not swear  
173 you in, you are required, pursuant to Title 18, Section 1001 of the  
174 United States Code, to answer questions from Congress truthfully. This



175 also applies to questions posed by Congressional staff in this  
176 interview.

177 Do you understand?

178 A Yes.

179 Q If, at any time, you knowingly make false statements, you  
180 could be subject to criminal prosecution.

181 Do you understand?

182 A Yes.

183 Q Is there any reason you are unable to provide truthful  
184 testimony in today's interview?

185 A No.

186 Q The Select Subcommittee follows the rules of the  
187 Committee on Oversight and Accountability. Please note that if you  
188 wish to assert a privilege over any statements today, that assertion  
189 must comply with the rules of the Committee on Oversight and  
190 Accountability.

191 Pursuant to that, Committee Rule 16(c)(1) states, "for the Chair  
192 to consider assertions of privilege over testimony or statements,  
193 witnesses or entities must clearly state the specific privilege and the  
194 reason for the assertion on or before the scheduled date of testimony  
195 or appearance."

196 Do you understand?

197 A Yes.

198 Q Ordinarily, we take a five-minute break at the end of  
199 each hour of questioning. But if you need a longer break or a break

200 before that, please let us know and we are happy to accommodate.

201 However, to the extent there is a pending question, we ask that you

202 finish answering the question before we take the break.

203 Do you understand?

204 A Yes.

205 Q Do you have any follow-up questions before we get

206 started?

207 A No.

208 Q All right.

209 First, I just want to talk a little bit about your education and

210 experience. And I thank you for your long career in public health.

211 Just briefly, where did you attend undergraduate school and what degree

212 did you graduate with?

213 A Bucknell University. I received a bachelor of science in

214 chemistry.

215 Q And what year was that?

216 A 1970.

217 Q Thank you. Did you receive any further degrees, and if

218 so, from where and in what?

219 A I received an MD from Northwestern University in 1977.

220 Q Who is your current employer, and what is your current

221 job title?

222 A I'm a private citizen.

223 Q Congratulations. And briefly, can you run through your

224 career prior to joining the FDA? We will get into your FDA experience

225 after that.

226 A Well, subsequent to graduating from medical school, I  
227 pursued a residency in internal medicine at Penn State University. And  
228 subsequent to that, I stayed on as a junior faculty member for a short  
229 period of time.

230 Then I went to UCSF, and I pursued a fellowship in rheumatology,  
231 which I completed that fellowship. And then I stayed at UCSF for  
232 perhaps a year as, again, a junior faculty member.

233 Subsequent to that, I moved back to Maryland and I had an infant  
234 at the time. And so in 1986, I joined FDA, the Center for Drugs and  
235 Biologics, it was called at that time. I joined the biologics part as  
236 a part-time medical reviewer.

237 Q Thank you. And we'll get into a little bit more of your  
238 FDA experience, but do you currently hold honorary positions?

239 A I have an honorary degree.

240 Q Okay. From where?

241 A From University of Maryland.

242 Q Thank you. Do you currently hold or have you previously  
243 held any positions on boards of companies, nonprofits, or otherwise?

244 A I'm on the board of PCORI, Patient-Centered -- I forget  
245 what it stands for. Patient-Centered research group. That is -- I was  
246 appointed by the Comptroller General of the United States. It is a  
247 nonprofit, but it has an unusual status. But that's the board I'm on.

248 Q Thank you. Now, if we can elaborate a little bit more.

249 A I also -- for many years, I served on the editorial board

250 of the New England Journal of Medicine.

251 Q Okay. Thank you.

252 A Mm-hmm.

253 Q Now, we can elaborate more on your roles at FDA. I  
254 understand you had a long career and wore a few different hats. I know  
255 you started out as a drug reviewer -- part-time drug reviewer?

256 A Biologics.

257 Q Biologics reviewer. Can you explain where your path led  
258 from there?

259 A Yes. Well, I started out as a part-time reviewer in the  
260 I&D division in the biologics part of the agency. Subsequently, I  
261 became director of that unit. Subsequent to that, I became acting  
262 deputy director of the Center for Biologics.

263 Q That's CBER?

264 A Yes, it had split at that time, and then was the Center  
265 for Biologics Evaluation and Research.

266 Q How long were you director of CBER?

267 A I was acting deputy.

268 Q Acting deputy.

269 A I don't know. Subsequent to that, I was the head of the  
270 Office of Therapeutics of CBER, a newly formed office that was dealing  
271 with the new biotech drugs that came online. I did that until 1994  
272 when I was appointed director of the Center for Drugs at FDA.

273 Do you want me to continue?

274 Q Yes, please.

275 A I have sort of a disability or something, I can't  
276 remember dates.

277 Q That's okay.

278 A I always had that.

279 Q Just to the best of your recollection.

280 A This is going to be roughly. I can provide you my CV.

281 Then I worked for Mark McClellan, when he was Commissioner. And  
282 then I stayed on -- he left and I became Deputy Commissioner, chief  
283 operating officer of the agency. I did that for a while. Then I went  
284 back and took -- I think that was 2007, I went back and took over the  
285 Center for Drugs again for another stint. And I remained head of the  
286 Center for Drugs until I became Acting Commissioner.

287 Q And do you recall exactly when you became Acting  
288 Commissioner?

289 A Well, that was right at the transition of the  
290 administration, so it would have been 2021.

291 Q And when did you finish up in that role?

292 A February of that following year. So I did it for about  
293 13 months.

294 Q And you went back to another role for a brief stint after  
295 that?

296 A Well, for several years until I retired, I was Principal  
297 Deputy Commissioner.

298 Q Thank you very much. And forgive me, when exactly did  
299 you retire? That was this year at some point?

300 A January 31st.

301 Q Congratulations.

302 A Finally.

303 Q Now, transition to talking a little bit about

304 relationships you may have had over the course of the pandemic.

305 Specifically, I want to go down a list of people, and ask if you

306 communicated with any of them regarding COVID-19 vaccination

307 authorizations, approvals, recommendations, or other vaccine and

308 policy. Does that make sense?

309 Mr. Cooke. And this is all to the best of your recollection, of

310 course.

311 BY MR. SPECTRE.

312 Q President Biden.

313 A No.

314 Q Dr. Francis Collins?

315 A Yes.

316 Q Any other NIH officials?

317 A Dr. Fauci. And possibly other individuals who I can't

318 remember their names at NIH.

319 Q Okay. Any other NIAID officials besides Dr. Fauci?

320 A No, I don't believe so.

321 Q Secretary Xavier Becerra?

322 A Yes.

323 Q Dr. Rochelle Walensky?

324 A Yes.

325 Q Any other CDC officials that you can remember  
326 specifically?

327 A I may have occasionally spoken to the chief of staff  
328 there, Sherri. I don't remember her name.

329 Mr. Benzine. Sherri Berger?

330 The Witness. Potentially.

331 BY MR. SPECTRE.

332 Q Dr. Tom Shimabukuro?

333 A No.

334 Q Secretary Lloyd Austin?

335 A No.

336 Q Any other DoD official?

337 A Could you restate your question?

338 Q I can. So these are communications regarding COVID-19  
339 vaccination authorizations, approvals, recommendations, or other  
340 vaccine policy. So the name we were on was Secretary Lloyd Austin or  
341 any other DoD official.

342 A Is there a timeframe you're asking about here?

343 Q From December 2020 or -- over the course of the pandemic  
344 until you left FDA.

345 Mr. Cooke. If you're talking about -- you're talking about  
346 during her tenure when she would have been involved in the approval  
347 process?

348 Mr. Spectre. COVID-19 vaccination authorization or approvals.

349 Mr. Cooke. But just so we are all on the same page, is that

350 referring to when she was part of that process as Acting Commissioner?

351 Mr. Spectre. As any role she had in the FDA, any conversations  
352 she had about vaccine policy.

353 Mr. Benzine. For Secretary Austin, it would be after Secretary  
354 Austin was sworn in.

355 Mr. Cooke. So now we're talking early 2021? Okay.

356 The Witness. Well, I am a little confused.

357 Mr. Cooke. Sorry, just so we're all on the same page. Are you  
358 asking on those topics any conversations with anyone at DoD, beginning  
359 in early 2021, when she was actually involved in the approval process;  
360 is that right?

361 Mr. Spectre. It --

362 Mr. Benzine. Let's separate the questions.

363 BY MR. BENZINE.

364 Q So January 2020 to December 2020, any conversations with  
365 any DoD officials that you remember?

366 A During that time, I was detailed or whatever to Operation  
367 Warp Speed, I was the therapeutic lead for Operation Warp Speed, not  
368 vaccine lead.

369 Q So the conversations during the time, to the best of your  
370 recollection, would have been centered around Operation Warp Speed?

371 A Could you repeat?

372 Q So the conversations with DoD officials, probably General  
373 Perna and a couple others, from January 2020 to December 2020, would  
374 have been centered more around your detail to Operation Ward Speed?



375 A Yes.

376 Q Than your FDA position; is that correct?

377 A That's correct.

378 Q Okay.

379 A That's why I was having trouble.

380 Q And then for Secretary Austin, the timeframe would be

381 when he was sworn in, so I don't know the exact date, but January-ish,

382 2021 to when you left?

383 A I did not have conversations, to my recollection, with

384 Secretary Austin.

385 BY MR. SPECTRE.

386 Q Or how about any other DoD officials?

387 A Between when I was Acting Commissioner?

388 Q Yes.

389 A Not to my recollection.

390 Q Okay, thank you.

391 [REDACTED]. If you can keep the volume up a little, so we

392 can hear. We've got a fan going back here. Thank you. Appreciate it.

393 The Witness. Sure.

394 BY MR. SPECTRE.

395 Q I am going to go through a few more. Dr. Deborah Birx,

396 the same questions.

397 A Could you restate the question very clearly?

398 Q Any conversations regarding COVID-19 vaccination

399 authorizations, approvals, recommendations, or other vaccine policy.

400 Mr. Cooke. And are we subdividing? So there's Operation Warp  
401 Speed --

402 Mr. Benzine. So we'll put Operation Warp Speed to the side. Any  
403 conversations specific to vaccines.

404 The Witness. After I was Acting Commissioner?

405 Mr. Benzine. Well, Dr. Birx would have been before.

406 The Witness. Oh.

407 Mr. Cooke. But not related to Operation Warp Speed.

408 Mr. Benzine. But not related to Operation Warp Speed.

409 Mr. Cooke. So outside that context any conversations with  
410 Dr. Birx regarding those topics.

411 The Witness. No.

412 BY MR. SPECTRE.

413 Q So same stipulations --

414 A I had a complicated time.

415 Q And we understand that. So same stipulations for  
416 Dr. Ashish Jha?

417 Mr. Benzine. This would have been January 2021 to retirement.

418 The Witness. I had conversations with Dr. Jha as part of group  
419 conversations, yes.

420 BY MR. SPECTRE.

421 Q Dr. Jeffrey Zients?

422 A I had conversations with him, yes.

423 Q Dr. Rick Bright?

424 A No.

425 Q Dr. Stephen Hahn?

426 A When are we talking about here?

427 Mr. Benzine. This would have been --

428 The Witness. After he was gone?

429 BY MR. BENZINE.

430 Q No, January 2020 to December 2020, conversations

431 regarding vaccine authorizations, approvals, or policies with Dr. Hahn?

432 Mr. Cooke. Not a part of Warp Speed.

433 Mr. Benzine. Correct.

434 The Witness. Not to my recollection.

435 BY MR. SPECTRE.

436 Q Dr. Peter Marks?

437 A Yes.

438 Q Dr. Marion Gruber?

439 A To my recollection, I had one or two conversations with

440 Dr. Gruber.

441 Q Dr. Philip Krause?

442 A Yes, one conversation.

443 Q Dr. Albert Bourla?

444 A Not to my knowledge.

445 Q Stephanie Bancel?

446 A Not to my knowledge.

447 Q Kiran Ahuja or any other Office of Personnel Management

448 official?

449 A No.

450 Q Denis McDonough, or any other VA official?

451 A Can we restate the question? When was this?

452 Mr. Benzine. This would be January 2021 to your retirement.

453 Mr. Cooke. So during the current administration, any discussions  
454 with McDonough in his capacity—

455 The Witness. Regarding vaccines?

456 Mr. Benzine. Yes.

457 The Witness. No.

458 BY MR. SPECTRE.

459 Q Dr. Bernadine Futrell, or any other Office of Head Start  
460 official?

461 A No.

462 Q Douglas Parker, or any other OSHA official?

463 A No.

464 Q We'll go back through a couple of these. And just some  
465 of the ones you said yes to, we'll talk a little bit more.

466 You said you spoke with Dr. Collins about vaccine authorizations,  
467 approvals, recommendations, or other vaccine policy. Do you remember  
468 any particular conversations that stand out with her?

469 A Certainly. For emergency use authorizations, the  
470 regulations stipulate that we should consult with CDC -- FDA, when I  
471 say we, should consult with NIH, CDC, and relevant agency parties about  
472 issues.

473 So for example, I recall a conversation NIH and CDC about the  
474 AstraZeneca vaccine and the thrombotic diatheses that we were

475 experiencing and how that should be managed. We also held those  
476 appropriate consultations during various potential actions, labeling  
477 actions or other potential changes that might be related to the  
478 vaccine.

479 Q Any specific conversations about vaccine recommendations  
480 or vaccine policy, like vaccine mandates, for example?

481 Mr. Cooke. Can we be more precise? Specifically about vaccine  
482 mandates now or policies?

483 BY MR. SPECTRE.

484 Q Vaccine mandates or any other vaccine policy outside of  
485 authorizing and approving vaccines, if that makes sense.

486 A Yes, I did have conversations with Dr. Collins about  
487 policies related to vaccinating FDA and NIH employees. And we tried to  
488 have some uniformity about the exceptions, and how we would handle  
489 those matters.

490 Q And was that after the federal employee vaccine mandate  
491 was issued or was that prior to that?

492 Mr. Cooke. If you remember.

493 The Witness. I can't recall.

494 BY MR. SPECTRE.

495 Q Thank you. You also said you spoke with Dr. Fauci. Are  
496 those the same conversations as with Dr. Collins or different ones?

497 A To my recollection, the conversations with Dr. Fauci were  
498 also taken in a group consultation around, say, adverse event or  
499 labeling decisions around the EUA, in accordance with the regulations.

500 Q And no specific conversations about vaccine mandates?

501 A Not to my recollection.

502 Q Secretary Xavier Becerra, do any specific conversations  
503 jump out, to your recollection?

504 A Secretary Becerra received every two weeks or so, I don't  
505 recall exactly how frequently, briefings from the involved agency heads  
506 about the progress of multiple different activities that were going on  
507 with regard to COVID vaccine, and I was a part of those.

508 Q Okay. Were your conversations with him strictly about  
509 the authorization and approval process, or did it delve into the  
510 mandate policies as well?

511 Mr. Cooke. Sorry, just so I know we're keeping on the right side  
512 of the line here, obviously, to the extent you're asking about details  
513 with respect to these internal conversations, we have an Executive  
514 Branch interest in maintaining the confidentiality of those. We're not  
515 going to be able to go into details of all those conversations. I  
516 guess, just so I know what you're asking -- -

517 Mr. Benzine. That was a yes or no. If the conversations with  
518 Secretary Becerra also involved mandated policies.

519 Mr. Cooke. I want to be sure the yes or no was clear. So did  
520 those conversations with Secretary Becerra involve discussion about  
521 mandate policy?

522 Mr. Benzine. Yes.

523 The Witness. My conversations?

524 BY MR. BENZINE.

525 Q Yes.

526 A To my knowledge, no.

527 Q You said it was like a group biweekly meeting. Did  
528 vaccine mandate policies come up with other people in that meeting?

529 A I don't recall.

530 BY MR. SPECTRE.

531 Q You touched on your meetings with CDC. But more  
532 specifically with Dr. Rochelle Walensky, do you remember any specific  
533 conversations that jump out about these issues?

534 A Can you describe these issues?

535 Q COVID-19 vaccination and authorizations approvals,  
536 recommendations, or vaccine policy?

537 A Yes, the CDC has a dual role with FDA on these matters.  
538 And the ACIP, which is the, again, acronym about immunization policies  
539 or the Advisory Committee on Immunization Policy of the CDC would meet  
540 and opine and make general immunization recommendations after the FDA  
541 had done an action on a vaccine.

542 And that occurs for most vaccines that CDC would have an interest  
543 in. And so we would frequently meet with Rochelle and her staff, and  
544 discuss what action FDA would potentially be taking. They would often  
545 present at our Advisory Committee, which would be first, and then they  
546 have an ACIP.

547 So we would discuss this whole process. We didn't want any -- we  
548 certainly wanted to be open to different scientific points of view, but  
549 didn't want any sort of unseemly different agency -- differences of

550 opinion to -- you know, we would like to work those out in advance.

551 Q Sure. And in those advanced conversations before  
552 meetings or other conversations, did FDA provide forewarning, advanced  
553 warning or notice that an approval or regulatory action was going to  
554 occur?

555 A Yes, because they would need to schedule a meeting with  
556 multiple scientific advisers soon afterwards.

557 Q Sure.

558 A We couldn't ever -- FDA can't ever, you know, guarantee  
559 that an action is going to happen, but we could certainly give sort of  
560 a ballpark, so they could do planning.

561 Q And roughly, how much in advance were you able to give  
562 that ballpark, a couple of weeks, months?

563 Mr. Cooke. You mean in general?

564 BY MR. SPECTRE.

565 Q In general.

566 A And you're, again, talking about the various COVID  
567 vaccines.

568 Q Yes, that's right.

569 A The way FDA does its review process, it's planned. It's  
570 very complicated. Very many pieces have to come together. So  
571 generally speaking -- if I could step back away from COVID and just  
572 talk about review.

573 So generally speaking, project managers lay out a timeframe and  
574 work -- generally speaking, the timeframe is set by PDUFA goals, and



575 then you work backwards. And when does the labeling review have to be  
576 done? When do the inspections have to be done? Inspection reports  
577 have to be filed? And when does the safety review have to be done?

578 And so you work backward. Here, you kind of work forward. When  
579 is filing expected. And as you know, the companies would frequently  
580 tell the world they were going to file something. They would  
581 frequently -- this is true all the time for all products -- they would  
582 frequently be wrong, too optimistic, right? And so -- but then what  
583 needs to happen -- what would need to happen is a timeframe, a project  
584 plan would need to be laid out, okay?

585 So for this filing, do we need to do inspections? Do we -- how  
586 much -- how big is the safety review going to be? All this kind of  
587 stuff. So generally speaking, you get a target date, like a best case  
588 scenario date, and then you refine that.

589 So FDA was able to tell -- the filing would be public and tell  
590 the CDC, we think it would take this long. And refine that as we move  
591 forward. And you never -- you find stuff as you're doing a review, and  
592 more problems. And it might take longer, or it might be able to get  
593 done quicker.

594 Is that helpful?

595 Q Yes. So as you refine that, that due date, it's called  
596 an action due date, I believe?

597 A Yes.

598 Q So as the ADD gets moved around, are you providing  
599 updates to CDC about that?

600 A Yes.

601 Q Do you typically provide -- or does FDA typically provide  
602 updates about the ADD to other agencies besides CDC?

603 A FDA typically does not do that, because that's market  
604 moving information, right? And we ask anybody that -- we generally  
605 wouldn't tell people that, right? But this relationship with CDC is  
606 very special, because they have an action to take as well.

607 Q So outside of CDC, it's generally private information?

608 A Absolutely.

609 BY MR. BENZINE.

610 Q You said typically. I don't mean to nitpick on words,  
611 but during the COVID vaccine approval process, was the ADD shared with  
612 any other agencies?

613 A Not to my -- not by me.

614 BY MR. SPECTRE.

615 Q To your knowledge, did somebody else?

616 A Well, it often would appear in the papers. But that  
617 might have been from the companies, because we tell the company, so  
618 they can prepare. They have to -- the company has to do a lot of work.  
619 It's a very frenetic activity toward the end of that, as you approach  
620 that final date.

621 And so the companies would be told. So it's hard to know who  
622 would -- but the dates might start floating around out there. You  
623 know, companies are talking about that.

624 BY MR. BENZINE.

625 Q Do you know if anyone within the FDA shared the ADD with  
626 the Department of Defense?

627 A I do not know.

628 BY MR. SPECTRE.

629 Q Thank you. I think we'll talk a little bit more about  
630 the shifting ADDs and this topic a little later, but just to get  
631 through a few more of these names here.

632 You said you have spoken with groups -- and I can say the  
633 categories again for you -- with Dr. Ashish Jha about COVID-19  
634 vaccination authorizations, approvals, recommendations, or other  
635 vaccine policy. Do you remember any specific conversations that jump  
636 out to you?

637 Mr. Cooke. I know we went over this, but let me make sure I  
638 understood. You're now talking specifically in the context of  
639 Operation Warp Speed.

640 BY MR. SPECTRE.

641 Q Unless -- I understand your role in Operation Warp Speed  
642 was therapeutics. Did you have any role in vaccines with Operation  
643 Warp Speed?

644 A I did not have any direct decisional role.

645 Q Okay. So, yes, outside of Operation Warp Speed. All of  
646 these will be outside --

647 BY MR. BENZINE.

648 Q And while Dr. Jha was a member --

649 A Pardon me?

650 Q Dr. Jha was a private citizen involved in public health,  
651 but just became part of the administration in 2021. So the time scope  
652 on this would be January 2021 until he left -- I think he left before  
653 you.

654 A And I beg your pardon, but could you rephrase the  
655 question?

656 BY MR. SPECTRE.

657 Q Absolutely. How about this? Did you have any specific  
658 conversations about vaccine mandates with Dr. Jha while he was a  
659 government employee, outside of Operation Warp Speed?

660 A Not to my knowledge.

661 Q So the same for Dr. Jeffrey Zients. Did you have any  
662 conversation about vaccine mandates?

663 A No.

664 Q Dr. Peter Marks, I'm sure you had lots of conversations  
665 about some of those other topics with Dr. Marks; is that right?

666 A I was Dr. Marks' supervisor during that period when I was  
667 Acting Commissioner, and I had many conversations with Dr. Marks about  
668 the vaccines.

669 Q Did any conversations ever touch on vaccine mandates or  
670 mandatory vaccination policies, broadly?

671 A I believe Dr. Marks brought it up a couple times.

672 Q A couple of times?

673 A Yes.

674 Q Do you remember specific times?

675 A No.

676 Q Thank you.

677 BY MR. BENZINE.

678 Q What did he bring up?

679 A The fact that different groups were considering vaccine  
680 mandates. It was widely being discussed in the press and so forth.

681 And the fact that some of that was tied to status, the status of the  
682 vaccine was also being discussed, and he commented on that.

683 BY MR. SPECTRE.

684 Q So if I'm understanding correctly, he mentioned the fact  
685 that FDA may need to take regulatory action in order for vaccine  
686 mandates to be possible. Is that what you're saying?

687 Mr. Cooke. Again, I think we're getting into some fairly high  
688 level details here. If you can keep things general, then I think we're  
689 on the right side of the line. But to the extent that you're getting  
690 into details of conversations with Dr. Marks, we're not going to --

691 Mr. Benzine. I can rephrase it, so it's a yes or no, too.

692 BY MR. BENZINE.

693 Q Did Dr. Marks ever speak to you about the need for full  
694 Biologics approval in order for groups to institute vaccine mandates?

695 A Dr. Marks commented on the fact that mandates for some  
696 populations would be tied to their FDA status.

697 Q It was his opinion or was he just commenting on the  
698 press?

699 A He was commenting on the press, and that this was being

700 talked about, to my recollection, yes.

701 Mr. Spectre. Thank you.

702 BY MR. SPECTRE.

703 Q You said you spoke with Dr. Marion Gruber one or two  
704 times. We'll talk about one of those times a little bit later, July  
705 19, 2021. But is there another time specifically that you can  
706 remember?

707 A No.

708 Q So you said one or two. Just -- you think there may have  
709 been another time at some point, but you're not sure when?

710 A I may have said good-bye to her when she went off to  
711 Germany.

712 Q Okay. Thank you. And Dr. Krause, you said you spoke  
713 with him one time. Was that the July 19th meeting?

714 A I believe so.

715 Q Thank you. All right. Do you recall ever communicating  
716 with any of the officials who we spoke with -- and I can go back  
717 through, if you would like me to -- via a personal email or personal  
718 cell phone?

719 A No.

720 Q Not on your end or on theirs?

721 A I didn't have a personal cell phone.

722 Q Okay. Good for you.

723 BY MR. BENZINE.

724 Q Does FDA have Teams or another messaging app on your

725 desktop or laptop?

726 Mr. Cooke. If you know.

727 The Witness. I don't know.

728 BY MR. SPECTRE.

729 Q When was the last time you communicated with Dr. Marks?

730 A In his official capacity?

731 Q Right.

732 A In my official capacity.

733 BY MR. BENZINE:

734 Q Did you ever communicate with Dr. Marks about any  
735 testimony before Congress, yours or his?

736 A I spoke to Dr. Marks about ten days ago about my garden,  
737 and mentioned -- he's a fellow gardener. And I mentioned that I might  
738 be talking to Congress about this.

739 Q Did he mention that he just had?

740 A I believe he told me he had a hearing.

741 BY MR. SPECTRE.

742 Q Did he mention any other testimony he had given before  
743 Congress besides the hearing?

744 A No.

745 Q Thank you.

746 A Not to my knowledge.

747 Q Okay, last little section here on the relationships part.  
748 Did you regularly communicate with organizations or entities outside of  
749 the FDA as a part of your job as Acting Commissioner?

750 A Yes.

751 Q With pharmaceutical companies?

752 A My job as Commissioner did not involve inserting myself  
753 into discussions between the FDA and pharmaceutical companies unless  
754 there was a problem that had been escalated to my level.

755 Q And did that happen within the context of COVID-19  
756 vaccines during your time as Acting Commissioner? Was it elevated to  
757 your level, such that you had to get in between those conversations?

758 A You're talking about approvals, and between  
759 pharmaceutical companies and the FDA?

760 Q Authorizations or approvals between the FDA and a  
761 pharmaceutical company for COVID-19 vaccines.

762 A For vaccines. I cannot recall any, but it might be  
763 possible.

764 Q Okay. So did you have any particular conversations with  
765 the WHO as part of your duties?

766 A No.

767 Q Advocacy groups?

768 A Many.

769 Q And I assume within advocacy groups, probably patient  
770 groups as well?

771 A Yes, I've always spoken to many patient groups.

772 Q Thank you. I want to move on, talking a little bit more  
773 about the vaccine process and the COVID-19 vaccine itself a little bit  
774 more.



775           Just as a couple of baseline questions, could you explain a  
776 little bit what the FDA's typical role is in vaccine development?

777           A           FDA does several things. Number one, FDA sets the  
778 standards for the safety evaluation of a product as well as its  
779 efficacy evaluation.

780           Ideally, that's done quite early, so that the development  
781 program's going in the right direction, and there's not a lot of waste  
782 of time. Once -- and that might include toxicological evaluation  
783 before clinic, but those would be recommendations by the agency which  
784 are often published as guidance.

785           Once a company is planning to go into people and agency gets  
786 involved, and that's the IND process. So a company must file an IND,  
787 and wait 30 days. And the agency evaluates the plan, as well as the  
788 safety information to make sure that first in-human, experiment is  
789 safe. And then the agency will be overseeing the  
790 development -- clinical development program all along.

791           At some point, probably pretty early for vaccines, part of the  
792 safety evaluation is looking at the construct, whether it's a drug or a  
793 vaccine, or whatever. How it's made, what the chemistry is. Make sure  
794 not only that it's safe, but it's controlled.

795           And as you get later in the development process, you want to make  
796 sure that the product is able to be manufactured reproducibly, so the  
797 data from this person actually applies to the next group because the  
798 product is the same.

799           So they are more -- as things go along in the development, there

800 are more and more stringent chemistry and manufacturing controls,  
801 standards, as well as clinical evaluation.

802 Q Thank you. So we touched on this a little bit, I think,  
803 but where within the FDA are vaccines, in particular, regulated?

804 A They're regulated by the Center for Biologics Evaluation  
805 and Research.

806 Q And is there a sub-office of CBER that works particularly  
807 on vaccines?

808 A Yes, I believe it's Office of Vaccines.

809 Q Thank you. Who was in charge of CBER while you were  
810 Commissioner?

811 A Dr. Peter Marks.

812 Q And who was in charge of the Office of Vaccines?

813 A Dr. Marion Gruber.

814 Q And I understand the Office of Vaccines also has some  
815 sub-offices; is that right?

816 A Likely.

817 Q More than one?

818 A I am not very well-versed in the sub-organization. I  
819 believe it has changed over time.

820 Q Okay, thank you. As Acting Commissioner, what was your  
821 role in the COVID-19 vaccine development, authorization, or approval  
822 process?

823 A As I said, my job was to make sure that all the  
824 activities of the agency continued legally, scientifically valid,

825 appropriate, and so forth. And to deal with problems as they arose.

826 Q Thank you. We touched on this already, but I understand  
827 you had a formal role in Operation Warp Speed regarding therapeutics.  
828 I think I already asked you this, but just, again, you didn't have a  
829 specific role related to vaccines; is that right?

830 A That's correct.

831 Q In your opinion, do you believe Operation Warp Speed was  
832 a success?

833 A Yes.

834 Q Do you think anything should be done differently in a  
835 future pandemic, with regard to Operation Warp Speed?

836 A I am certainly on record saying that it has more to do  
837 with the clinical trial infrastructure. In fact, that we really don't  
838 have a sort of -- warm base for clinical trials in the United States.  
839 This was very evident for therapeutics. And there were hundreds and  
840 hundreds of trials that went on, I published on this, none of which  
841 were able to -- would yield any actionable data.

842 On the vaccine side, the companies ended up running the trials  
843 because they had the infrastructure to get that done, you know, with  
844 the help of the government and participation of government sites as  
845 well.

846 Q So you're saying we need a little bit more infrastructure  
847 on the therapeutic side to generate good data?

848 A On both sides. And I have certainly, as I said,  
849 published on this and been very vocal about it.

850 Q Thank you. We're going to talk a little bit more about  
851 authorizations and approvals. And just for the record, I think I can  
852 delineate EUA, I'll refer to as authorizations. And full biologics  
853 approval, I'll call it approvals, if that's okay?

854 A Certainly.

855 Q Could you please explain the difference between an  
856 emergency authorization and the full biologics approval?

857 A EUAs were conceived as a way to get products out there  
858 before the entire general process had been conducted. The standard for  
859 an EUA is -- it's in the regulations. It's basically that the  
860 foreseeable benefits and so forth outweigh the expected harm.

861 And so that's a different standard, okay, than the safe and  
862 effective, what have you. Then there are other parts that can be  
863 conducted more quickly or perhaps executed more quickly, especially  
864 some of the bureaucratic parts, than a full -- you know, a  
865 licensed -- a biologic license.

866 Q So are pharmaceutical companies generally permitted to  
867 advertise for authorized products?

868 A Yes.

869 Q And they can promote them under an authorized status?

870 A That's my understanding.

871 Q Does an EUA necessitate informed consent from  
872 individuals?

873 A My understanding is it does not.

874 Q And could you explain a little bit about what that means?

875 A What what means, informed consent or --

876 Q Sure, let's start there. What is informed consent?

877 A Informed consent has turned into, in the United States, a  
878 very long legal document, right? That nobody can understand,  
879 practically. That -- but the principle behind it is that the  
880 individual has to understand the parameters. That what -- and  
881 typically, used in clinical trials, that people understand they're  
882 volunteering for an experiment. And that the outcome isn't known, and  
883 that's why they're doing the experiment.

884 And so that they can sign something that they were made aware of  
885 this, and not just given something and not known about it, right?

886 Q Right. So within the context of a COVID-19 vaccine under  
887 emergency use authorization, since it didn't necessitate informed  
888 consent, does that mean that individuals may not have been fully  
889 informed by their physicians about the potential risks?

890 A There were information sheets that were given that  
891 spelled out, to my understanding, both for therapeutics and for the  
892 vaccines, what the parameters were.

893 Q And that's called a fact sheet, from what I understand,  
894 in the EUA?

895 A Maybe.

896 Q As opposed to a package insert, is that what it's called,  
897 for a full approval?

898 A The package insert for full approval for a product that  
899 isn't a direct consumer product, is intended for the learned

900 intermediary, not for the -- there may be a patient sheet, which I  
901 fully endorse, that can be given to patients, or it might be the end of  
902 the package insert. But generally speaking, the package insert is  
903 intended for somebody with a very high degree of medical understanding.

904 Q Okay. But to be clear, the EUA vaccines had a document,  
905 but it was not a package insert; is that right?

906 A That's correct. To my understanding, there was  
907 information for prescribers, there was information for patients.

908 Q And my understanding, correct me if I'm wrong, is that  
909 package inserts are continually updated as the administration finds new  
910 information. Are fact sheets in the EUA, those are also continually  
911 updated?

912 A Yes.

913 Q Thank you. COVID-19 vaccines were very widely  
914 distributed first under EUA. Had that ever been done before?

915 A Not to my knowledge.

916 Q Do you know, and I understand if not, but do you have a  
917 rough understanding of how many doses were distributed or administered  
918 under EUA?

919 A I do not, no.

920 Q Is it fair to say that it was millions?

921 A Yes.

922 Q Once the license was approved and issued on August 23rd,  
923 2021, to your knowledge, were the EUA doses pulled off the shelves?

924 A I do not recollect what happened with that. There was a

925 discussion about it.

926 BY MR. BENZINE:

927 Q Discussion between whom?

928 A Within the agency.

929 BY MR. SPECTRE.

930 Q To your knowledge, were the approved doses widely  
931 available quickly after approval?

932 A I do not recall.

933 Q And we'll dive into this a little bit more later, but  
934 just quickly, were COVID-19 vaccines approved more rapidly than normal?

935 Ms. Raveendran. To clarify the question, more rapidly than what?

936 BY MR. SPECTRE.

937 Q My understanding is when a BLA is submitted from a  
938 company, it's usually about a 12-month timeline for a vaccine. Is that  
939 about right?

940 A The approval of a product, whatever it might be, first of  
941 all, it's dictated partly by PDUFA, right, which it gives a ten-month  
942 timeframe for general approvals, and a shorter one for priority review.

943 The agency may decide -- the clinicians, in overseeing the file,  
944 may decide that due to the urgency of the need, an unmet medical need,  
945 that the product should be approved as quickly as possible, and may  
946 accelerate that further. And there are a number of examples, some of  
947 them biologics, obviously, that were gotten out much quicker.

948 Vaccines, typically, you know, take -- may have a priority review  
949 or a standard review, but given that they are a preventive

950 intervention, right, it's more likely they would have a standard  
951 review.

952 Q And just for clarity for the record, COVID-19 vaccines  
953 were under a priority review; is that accurate?

954 A I do not know.

955 Q Okay. Thank you. So to your recollection or knowledge,  
956 are authorized doses manufactured in facilities that are subject to the  
957 same FDA oversight as approved doses?

958 A Can you clarify the question?

959 Q Sure. When you were talking a little bit earlier about  
960 the FDA's role in development of vaccines, you talked a little bit  
961 about ensuring that each dose, that it is what it says it is, that it  
962 was manufactured properly, safely, all that.

963 Does the FDA have the same oversight of the facilities who are  
964 producing EUA authorized doses as it does oversight of fully approved  
965 dose manufacturing facilities?

966 A It's hard to respond to that question. There is no,  
967 like, rigorous algorithm about what the oversight might be. If the  
968 facility has been inspected recently for other reasons, and then is  
969 participating in some phase of the manufacture of a vaccine, for  
970 example, then the agency may decide not to look at that facility,  
971 whether it's for an emergency use authorization or for an approval.

972 So I honestly can't answer your question. I feel that the FDA  
973 oversight is what it needs to be at any given stage.

974 Q So to your knowledge, there is no difference between what



975 the FDA does to regulate facilities that are producing EUA vaccines, as  
976 opposed to what FDA does to regulate facilities that produce approved  
977 vaccines?

978 A There might be legal requirement differences. But  
979 scientifically, this is one thing I'm pretty much of an expert in, all  
980 right? You know, as far as the quality of the production, ensuring  
981 quality production, I think the oversight is very similar. However,  
982 there might be different legal requirements for a BLA than there might  
983 be for an EUA.

984 Q So there may be different legal -- there might be legal  
985 distinctions between the two, but in your view, they are on par, as far  
986 as safety?

987 A Well, for an EUA, that -- where you're planning to  
988 administer a product, whatever that product may be, to a large number  
989 of people, FDA wants to guarantee standard quality assurance.,  
990 pharmaceutical quality. And so FDA will do what it needs to do to have  
991 that.

992 Q Thank you. And this will be my last question before we  
993 finish up this hour here. Do you think the government generally did a  
994 good job communicating what differences there may be between authorized  
995 and approved doses?

996 A I think it's very hard to communicate, as we have just  
997 been demonstrating, these differences.

998 Q Okay.

999 Mr. Spectre. Thank you very much. We can go off the record.

1000 [Recess.]

1001 [REDACTED] [REDACTED]. We can go on the record.

1002 BY [REDACTED] [REDACTED].

1003 Q Dr. Woodcock, good morning. Thank you for being here.

1004 My name is [REDACTED] [REDACTED]. I am the Democratic staff director for the  
1005 Select Subcommittee.

1006 I would like to take a step back and ask you a few questions,  
1007 some of which may be redundant. To the extent these questions are  
1008 redundant, I would appreciate you providing a full answer. And I would  
1009 like to begin by discussing the emergency use authorization process  
1010 that the FDA follows for the consideration of vaccine products.

1011 As an initial matter, would you mind just briefly walking us  
1012 through the FDA's emergency use authorization process for vaccine  
1013 products, including the circumstances under which FDA can issue EUAs?

1014 A Well, certainly the FDA has to -- follows the regulations  
1015 regarding emergency use authorizations. And these -- generally  
1016 speaking, there will be an application for an emergency use  
1017 authorization, it will be submitted to the agency. That will have the  
1018 usual relevant information in it, or should, right, which would include  
1019 manufacturing controls information, any other kinds of relevant safety  
1020 toxicology information, clinical experience to date, rationale for  
1021 using it -- using the product in an emergency.

1022 Then the FDA will review this against the standards, okay, where  
1023 foreseeable benefits -- and I'm very much paraphrasing the regulations.  
1024 I am not a lawyer, and I can't remember all these things very well.

1025 But where the foreseeable benefits outweigh the potential harms. And  
1026 obviously, that also includes the pharmaceutical quality of the product  
1027 and so forth in that package. And then we'll make a decision based on  
1028 that.

1029 And then that has to be forwarded. During that time, these  
1030 emergency use -- during the time that you're referring to, 2021, these  
1031 emergency use authorizations were signed off by the chief scientist of  
1032 the agency, whose office -- so it would be signed off by the relevant  
1033 center, and then reviewed by the chief scientist's office, who  
1034 generally do a procedural review.

1035 And that EUA approval would include the relevant documentation  
1036 that would be given to patients, the fact sheets, or what have you, the  
1037 other relevant information about the product, the rationale for the  
1038 decision, and so forth.

1039 There would be a whole package that would be put together. And  
1040 then that product would be enabled to be used for an EUA until which  
1041 time those conditions no longer applied. And of course, the EUAs were  
1042 withdrawn by the agency as well.

1043 Q So focusing on your scientific perspective, we'll set  
1044 aside the legal sort of aspects for a moment. I was hoping you could  
1045 offer me your view on the mechanisms that are in place through the EUA  
1046 process to maximize consumer safety, specifically for vaccine products.

1047 A Well, first of all, the agency has complete discretion on  
1048 deciding to what extent they will verify or validate the pharmaceutical  
1049 quality. So how many inspections they wish to do, whatever testing

1050 they wish to do of the product, or require additional testing to be  
1051 done.

1052 That, for example, to mention another one, hydroxychloroquine  
1053 that has been imported from somewhere in Asia and brought to the United  
1054 States, full testing of that product was done before, for  
1055 pharmaceutical quality before it would be put -- be made available. So  
1056 that's an example.

1057 With the vaccines, with the COVID vaccines, which I assume is  
1058 what you're referring to here.

1059 Q Sure.

1060 A Okay. They had come -- been tested in very large  
1061 clinical trials, larger than most vaccine programs -- development  
1062 programs would have contemplated, right?

1063 And so there was a tremendous amount of information on  
1064 performance characteristics of these vaccines in the subjects in the  
1065 trials. So that would be very carefully reviewed by the FDA, as well  
1066 as ensuring by looking to pharmaceutical quality that the product that  
1067 would be authorized under the EUA would be the same product that was  
1068 tested within the clinical trials.

1069 So that would give you an assurance of safety, as well as the  
1070 efficacy from -- that was derived from clinical trials.

1071 Q So you just mentioned, there was a tremendous amount of  
1072 data that was produced relevant to the clinical trials. You also  
1073 mentioned, I believe in the preceding hour, that there was a process by  
1074 which known and potential benefits are weighed against known and

1075 potential risks through the EUA process.

1076 Can you share a little bit about how that process takes place,  
1077 and specifically how it occurred for the COVID-19 vaccine EUAs?

1078 A To my recollection -- and please remember, this is  
1079 somewhat of a blur to me, because I was doing a very large number of  
1080 things at once.

1081 Q Okay.

1082 A The agency had put forth standards which, as I already  
1083 said in my prior discussion, that frequently do put standards for how  
1084 effective -- how something should perform as far as effectiveness. So  
1085 the agency had put forward an effectiveness standard, right, a  
1086 threshold or bar that a vaccine should theoretically have, to be  
1087 considered an effective vaccine, okay?

1088 That threshold was quite well exceeded, to my recollection, by  
1089 the various candidate vaccines that were tested in trials. Certainly  
1090 the ones that received emergency use authorizations. And that is a  
1091 typical process for all products as the agency puts out guidance for  
1092 information about what standard should be met, and that was the  
1093 standard for efficacy, okay?

1094 So that -- to my recollection, that standard was well exceeded by  
1095 the vaccines, as far as preventing infection and different things like  
1096 that, things that were tested in the trial.

1097 The safety evaluation is more a judgment call. However, as I  
1098 said, these vaccines had had far more human exposure than most any  
1099 products that FDA typically would approve. I think many, many, many

1100 years ago, under -- it wasn't called EUA at the time, it was called  
1101 compassionate use, there were -- for a cardiovascular drug, I think  
1102 there were tens of thousands of people who got that drug before  
1103 approval. That was many, many decades ago, okay?

1104 But again, that's a huge amount of human experience, relevant,  
1105 safety experience, but it wasn't in trial, whereas these vaccines were  
1106 tested in trial, whereby there was a systematic collection of safety  
1107 information and comparison groups -- randomized comparison groups for  
1108 safety.

1109 So that information, I think, would far exceed almost any  
1110 package -- any approval package that the agency would get normally, as  
1111 far as human experience. And that information was thoroughly reviewed  
1112 prior to granting an EUA.

1113 Q Taking a step back, just on to the efficacy and  
1114 effectiveness side. In epidemiology, those are distinct terms. Just  
1115 to clarify, when you say efficacy and effectiveness, are you using them  
1116 synonymously or like a breakdown of the epidemiologic concepts?

1117 A Right. I am using it with respect to the Food, Drug, and  
1118 Cosmetics Act, the statutory standard which calls for safety and  
1119 effectiveness. Now, granted that was passed in 1962, and they didn't  
1120 probably, the lawmakers, appreciate these distinctions. And many  
1121 people -- agencies use that terminology routinely, safe and effective.

1122 Now, I am fully aware of the distinctions that are made, but  
1123 let's just talk about what the primary end point was. That's what FDA  
1124 considered effectiveness, right? And those exceeded -- those vaccines

1125 all exceeded the threshold that had been set by the agency for that  
1126 performance, okay? And so they had met that threshold.

1127 Q And of course, as it relates to evaluating the efficacy  
1128 or effectiveness of a product, there are some limitations by which that  
1129 can occur. For example, from a temporal standpoint, if there were  
1130 certain variants of COVID-19 in place at the time, that is the metric  
1131 by which you are measuring effectiveness or efficacy -- the benchmark  
1132 by which you are measuring effectiveness and efficacy. New variants,  
1133 for example, are not something that can be immediately considered  
1134 discretely through existing processes because they, in fact, do not  
1135 exist yet; is that correct?

1136 A That's correct.

1137 Q Continuing in the same vein, relating to the EUA process  
1138 as a whole for vaccine products, to the extent you would like to  
1139 comment specifically on COVID-19 vaccines, that is welcome.

1140 What mechanisms are in place to insulate the emergency use  
1141 authorization product -- process for vaccine products from political  
1142 interference?

1143 A If I may step back. Generally speaking, one of the roles  
1144 of the senior management of the agency is to insulate the professional  
1145 review staff from any type of outside influence. The agency is lobbied  
1146 all the time by patient groups, by industry, by advocacy groups, who  
1147 have one position or another on a very wide variety of different  
1148 topics. And the goal is to allow staff to conduct their reviews  
1149 without considering -- without being subject to those kind of

1150 pressures.

1151 Q So then as the acting FDA Commissioner at the time, as an  
1152 expert on these matters, do you feel that consumers should feel  
1153 confident in the safety of products that are authorized under emergency  
1154 use, even if they have not gone through the full approval process of  
1155 the FDA?

1156 A Yes.

1157 Q Would you like to elaborate on that any more or --

1158 A As I said earlier, the FDA tries to ensure that those  
1159 products, as well as they do for an approval -- approved product, that  
1160 any product under an EUA might be fit for its purpose. In other words,  
1161 its benefits -- its foreseeable and known benefits outweigh its known  
1162 and foreseeable risks, and it's appropriate. This is basically medical  
1163 judgment that FDA makes every day with all the products it regulates.

1164 Q Of course. So as I understand it, based on the  
1165 regulations you're referencing, the laws that govern the operation of  
1166 the Food and Drug Administration, it is necessary for the Secretary of  
1167 Health and Human Services to declare that circumstances are necessary,  
1168 justifying use of emergency use authorization for products; is that  
1169 correct?

1170 A That's my understanding.

1171 Q For the COVID-19 vaccine products, would you be able to  
1172 remind me at what point the Secretary of Health and Human Services  
1173 declared that there were circumstances that existed justifying the EUA  
1174 process for COVID-19 vaccines?



1175 A No, I can't recall that.

1176 Q Okay. As I understand it, there was a February 4th, 2020  
1177 declaration from Secretary Azar that took effect March 27, 2020. Does  
1178 that sound roughly correct to you?

1179 A It does.

1180 Q And so then just to clarify, it was actually the former  
1181 administration's Secretary of Health and Human Services, President  
1182 Trump's HHS Secretary, Dr. Azar, who declared that circumstances were  
1183 appropriate for an EUA to be considered for COVID-19 vaccines; is that  
1184 correct?

1185 A That's my understanding, yes.

1186 Q And just taking us back to February or March of 2020,  
1187 sort of that late winter, early spring 2020 period, could you remind us  
1188 what circumstances were like for our society and for public health in  
1189 that early point in the COVID-19 outbreak?

1190 Mr. Cooke. Sorry. Just as a reminder, as we talked about with  
1191 your colleagues, at this point, Dr. Woodcock didn't have a  
1192 decisionmaking role with respect to the approval process. So this is  
1193 speaking as a general scientist.

1194 [REDACTED]. Of course. Thank you.

1195 The Witness. Well, during that time, there were outbreaks in  
1196 large cities such as New York. There were huge number of fatalities.  
1197 The hospitals were overwhelmed in certain areas. That was definitely a  
1198 crisis, a medical crisis situation.

1199 BY [REDACTED].

1200 Q And that point in time, am I correct that the options we  
1201 had to go prevent and also treat COVID-19 were severely limited?

1202 A That is correct.

1203 Q So the Pfizer booster was authorized in 2021, as I  
1204 understand. Am I correct in my interpretation that that emergency use  
1205 authorization occurred under an amendment to the EUA that was issued  
1206 during the previous administration by Secretary Azar?

1207 A I believe that is the case.

1208 Q And similar question, again, sort of late summer, early  
1209 fall of 2021, could you remind us of the circumstances that we, as a  
1210 society, were navigating vis-a-vis the COVID-19 pandemic at the time  
1211 when FDA was evaluating booster products for emergency use  
1212 authorization?

1213 A Well, scientifically, the pandemic had gone through  
1214 several different stages. There were various variants that came and  
1215 went. And the concern was that with waning immunity, which we knew was  
1216 the case from -- waning immunity either from infection with COVID or  
1217 actually being vaccinated with the regimen, the neutralizing titers  
1218 were dropping across the population, particularly as you get older,  
1219 with the older people.

1220 And the concern -- and if you get a variant, then the  
1221 neutralizing titers which may have been more effective against the  
1222 original strain it was raised against would be even less effective  
1223 against a variant, and then with remaining titers even less effective.

1224 And this is a situation we see with a number of types of

1225 immunizations and viruses that tend to undergo a lot of mutations. The  
1226 virus is circulating broadly all over the world, and therefore, lots of  
1227 variants were coming up. They were scary. Sometimes they would go  
1228 back down again. There was concern that a more infectious and  
1229 potentially more virulent variant would occur, and we would be faced  
1230 with a population with waning immunity.

1231 Q And as you alluded to earlier, the emergence of new  
1232 variants in the COVID-19 pandemic and other public health circumstances  
1233 oftentimes merits the updating of products or the release of new  
1234 products to maintain current levels of protection for populations; is  
1235 that correct?

1236 A Yes. When I started as Acting Commissioner, I had all  
1237 three centers -- I called for scenario planning, which we did. And we  
1238 went through various scenarios that might occur. You know, perhaps the  
1239 virus would just sort of go away, perhaps we get variants to which we  
1240 were not protected against, and so forth. And we did that.

1241 And then we also issued guidance for diagnostics, because you  
1242 could get a variant that wouldn't be detected with current diagnostics,  
1243 okay?

1244 If it's a PCR, the primer pairs wouldn't be correct. If it's a  
1245 monoclonal, it maybe wouldn't be grabbing on to the right, you know,  
1246 virus because the virus had changed.

1247 So we said what we would do in those situations with the  
1248 diagnostics. We issued what we would do with the therapeutics. And  
1249 indeed, we had to withdraw, if you recall, a number of the monoclonal

1250 antibodies because they no longer were effective. But what we did is  
1251 we published that and told everybody what would happen under different  
1252 scenarios.

1253           The same with the vaccines. We said how we would try to  
1254 pick -- and you know, we put this out there scientifically to get input  
1255 in the discussion. How we would try to pick a booster, or whatever you  
1256 want to call it, to kind of forecast if there was a rise in a variant  
1257 that wasn't well covered by current vaccination. However, the  
1258 background was that titers were falling for everybody.

1259           Q           And so focusing specifically on the data that Pfizer  
1260 submitted to FDA for the emergency use authorization and evaluation of  
1261 its booster product, did that data, from your scientific perspective,  
1262 demonstrate that the booster product that Pfizer had proposed was both  
1263 safe and effective?

1264           A           Yes.

1265           Q           I would like to ask you a similar set of questions as it  
1266 relates to the approval process that's in place. So full BLA approval.  
1267 Briefly, again, would you just be able to quickly walk us through the  
1268 approval process that is in place for vaccine products at the Food and  
1269 Drug Administration?

1270           A           It's hard to be brief.

1271           Q           Fair.

1272           A           The companies must submit -- and for a long time, we have  
1273 standardized a lot of this under the ICH, International Council for  
1274 Harmonization of, you know, medicines. They have to submit a very

1275 large application that has multiple sections. There's a section on  
1276 manufacturing and how they control the product, and how its quality is  
1277 maintained. A toxicology section, clinical and statistical.

1278 There's information on all the facilities where the product, or  
1279 parts of the product could be manufactured and their status and their  
1280 role and so forth. And they submit a draft label or package insert.  
1281 That is the technical term for that, so they submit a draft package  
1282 insert.

1283 Generally speaking, once that's submitted, you know, the agency  
1284 holds a meeting or series of meetings to develop a rigorous plan. And  
1285 as I said earlier, that will include what has to be reviewed,  
1286 who -- which experts should review each part, when those parts should  
1287 be completed.

1288 For example, if inspections are needed, when the inspections  
1289 would be done. Some of them might be all around the globe. Then when  
1290 could the reports be back. And then when could all the information be  
1291 synthesized.

1292 There's also post-market commitments. For example, if there are  
1293 adverse events you're concerned about, you'll want to develop a plan  
1294 for monitoring them afterwards, and have the company commit to that.  
1295 There's also often a plan for younger age groups called the pediatric  
1296 plan that has to do with the Best Pharmaceuticals for Children Act, and  
1297 so forth.

1298 So all these things, it's too complicated for a person just to do  
1299 in the back of their brain. They -- you know, a project plan should be

1300 created, typically, and charts and so forth. All this information  
1301 would be put together. So a huge cadre of experts would be recruited  
1302 and assigned different parts of the work. And then when those people  
1303 all come together, and this whole thing comes together, and then you  
1304 have a proposed or best guess date for completion.

1305 Q So as part of the robust process you just described, it  
1306 sounds as though there are mechanisms in place to maximize consumer  
1307 safety, again, that are built into this FDA approval process for  
1308 vaccine products. Could you elaborate on those mechanisms to maximize  
1309 consumer safety?

1310 A Certainly. Well, there would be -- and particularly with  
1311 the vaccines, since there was emergency use authorization, a separate  
1312 group would look at the safety information. That would be, like, the  
1313 post-market group would be looking at all the safety information that  
1314 was submitted from spontaneous reports or from the company, from the  
1315 experience of tens of thousands of individuals who had received the  
1316 product under an emergency use authorization.

1317 That would be separate from the group that, again, would look  
1318 at -- and of course, that had already been reviewed, the clinical trial  
1319 safety data. But those two data sets need to be put together to learn  
1320 anything new from this broad exposure of people. And if so, what? And  
1321 how does that modify our safety assessment?

1322 And then there would be supervisors of all these folks who, at  
1323 various points during this review process, would review and sign off on  
1324 the safety findings.

1325 Q Am I correct in my understanding that that process you  
1326 just described was the process that was followed for Pfizer's COVID-19  
1327 primary series vaccine product?

1328 A To my knowledge, yes.

1329 Q And in the process you just described, again, similar  
1330 question, what mechanisms are in place to insulate that approval  
1331 process from political interference?

1332 A FDA's regulations state that a person should sign their  
1333 part of their review. A number of years ago, I tried to get a combined  
1334 review done, so that everybody worked together better. And one of the  
1335 difficult parts was getting -- how could people sign off on combined  
1336 review.

1337 So people -- what the regulations say is, when you sign as a  
1338 scientist, you're signing that you concur, right? That you -- that's  
1339 your work and you agree with it, right? Not that you were pressured  
1340 into it or whatever. People can write dissenting memos, and we have  
1341 that ability and a process for dissent on approvals, and so forth.

1342 And as I said, the review staff themselves are not exposed to any  
1343 pressures, like, from the advocacy groups or whatever, generally,  
1344 directly. That would be taken by the center directors or  
1345 Commissioner's staff, and so forth.

1346 Q So as the acting FDA Commissioner at the time, did you  
1347 have any concern that the mechanisms that you described to maximize  
1348 consumer safety, as well as the mechanisms to insulate approvals from  
1349 political interference, were insufficient or ineffective when the

1350 Pfizer COVID-19's BLA was being considered?

1351 A When I heard about the issues related to the review, I  
1352 was concerned that the staff, Marion and her people, could not  
1353 immediately cough up a very detailed Gantt chart about what they were  
1354 doing.

1355 And I had raised several times that this is a complicated review  
1356 with all this EUA safety data, and suggested that they take some  
1357 resources from CDER, computational science people, and also pediatric  
1358 cardiology to look at myocarditis, and how that would be followed over  
1359 time.

1360 Because I feel like I -- I felt that pediatric cardiology was the  
1361 correct discipline to evaluate adolescent myocarditis, right, and  
1362 sequelae -- potential sequelae, if any, of that.

1363 So when Dr. Marks talked to me about the review, I repeatedly  
1364 raised these issues. Otherwise, I feel like, you know, a very thorough  
1365 and complete assessment was being conducted.

1366 Q So at the end of the day, and I imagine there will be  
1367 subsequent questions related to the discrete evidence you discussed,  
1368 but the processes that were in place, the mechanisms that were in place  
1369 to maximize consumer safety, to insulate the approval process, the BLA  
1370 for the COVID-19 vaccine from political interference resulted in a  
1371 product that you felt was safe and effective?

1372 A Absolutely.

1373 Q I would also like to shift gears slightly, and now look  
1374 at sort of the back end of what occurs when products have been deployed



1375 to market. And specifically, the process that is in place for  
1376 evaluating and acting in response to vaccine-related adverse events.

1377 Am I correct that FDA's role in this process is informed by data  
1378 that is generated from multi-tiered surveillance systems that are  
1379 operated both by the Food and Drug Administration and the CDC?

1380 A Yes.

1381 Q So when signals of adverse events are detected through  
1382 these surveillance systems, what actions does the FDA take in response?

1383 A Well, first of all, technically, a signal is just a  
1384 signal. It doesn't necessarily mean causal relationship. So there are  
1385 multiple -- and these signals may arise from reporting. In other  
1386 words, a clinician or a patient may report an adverse experience to the  
1387 agency. And that's encouraged. Or CDC may, from their surveillance  
1388 systems, they may see some imbalance or signal, okay? It doesn't mean  
1389 it's related, causally related.

1390 So what happens is a workup of that, to evaluate whether or not  
1391 there is a link. And the Center for Biologics have established the  
1392 BEST system -- BEST medical records-based system where they can do  
1393 signal evaluation, and they can see if they can find it anywhere.

1394 They also -- as I said, the CDC has a smaller number of people,  
1395 but they have active surveillance. And the FDA has access to, say, the  
1396 Medicare database. They have access and cooperate with the  
1397 international authorities who have hundreds of millions of experiences  
1398 also.

1399 And if I dare say so, they have better acquisition of

1400 information, because their health care system is not as fragmented as  
1401 in the United States. So those groups that have national health care  
1402 systems have pretty full data on people, and the FDA has access to  
1403 that.

1404 So what happens when a signal arises either from a drug or  
1405 vaccine, or whatever, is this type of look is done across all these  
1406 databases to see if the signal is actually related.

1407 Q And so to be clear, it is your view that the federal  
1408 government does have in place a comprehensive, robust, multi-tiered  
1409 system to evaluate and determine signals and trends of potential  
1410 vaccine-related adverse events; is that correct?

1411 A Yes.

1412 Q And these systems were operating to detect possible  
1413 signals or trends of adverse events for Pfizer's COVID-19 vaccine  
1414 products; is that correct?

1415 A Oh, yes.

1416 Q Did these systems generate any data that warranted  
1417 concern for you sufficient to remove these vaccine products from the  
1418 market?

1419 A No.

1420 Q And what role, in your estimation, does continued  
1421 comprehensive investment in these surveillance systems have to ensure  
1422 that only the safest products are available to American consumers?

1423 A These are -- the systems are critical. I built the first  
1424 FAERS, FDA Adverse Event Reporting System, in the '90s. Subsequently,

1425 we also built an active surveillance system for CDER. And BEST is the  
1426 sort of echo of that for the vaccines, okay?

1427 So these are critical, and it's critical for us to use the  
1428 electronic health record data and all digital data to try to follow up  
1429 all regulated products and make sure their performance characteristics  
1430 stay positive for the country.

1431 Q And to be clear, when there are instances of adverse  
1432 events, signals, or trends that are detected, that warrant or sort of  
1433 merit the removal of a product from the market, FDA would act to do so;  
1434 is that correct?

1435 A Correct.

1436 Q And just to put a finer point on that, that did not occur  
1437 with Pfizer's COVID-19 products?

1438 A That's correct.

1439 [REDACTED] We can go off the record.

1440 [Recess.]

1441 Mr. Spectre. Back on the record.

1442 BY MR. SPECTRE.

1443 Q We finished talking a little bit about authorized versus  
1444 approved doses at the end of our last hour, but I am going to switch a  
1445 little bit now to some questions about the general public's  
1446 understanding of sort of these complicated issues we're talking about  
1447 today.

1448 First, do COVID-19 vaccines prevent the spread of the virus?

1449 A Most likely, to some extent.

1450 Q But not entirely?

1451 A Not entirely.

1452 Q Do COVID-19 vaccines prevent illness?

1453 A Yes.

1454 Q Were COVID-19 vaccines designed to prevent transmission?

1455 Mr. Cooke. If you know.

1456 The Witness. I don't understand the question. Technically  
1457 speaking, you try to get the immune system to eradicate the virus and  
1458 prevent its replication, right? So vaccines are designed to prevent  
1459 transmission, to some extent.

1460 However, different viruses, depending on their mode of  
1461 transmission, whether they're respiratory -- like, for example,  
1462 norovirus, okay, you can get norovirus on a cruise and you can get it  
1463 again six to eight weeks later, okay? Lovely thought, huh? And that's  
1464 because it's intestinal. You need intestinal immunity.

1465 So the answer to the question is, yes, vaccines are designed, in  
1466 my opinion, to prevent transmission, but you don't normally succeed in  
1467 everything. In fact, during development, you often don't succeed.

1468 Q And specifically within COVID-19 vaccines, were those  
1469 clinical trials designed to assess whether the vaccine was preventing  
1470 the spread of the illness?

1471 A To my understanding, the primary end point was to prevent  
1472 illness.

1473 Q Rather than --

1474 A Correct.

1475 Q -- spread?

1476 A Mm-hmm.

1477 Q Was the FDA's issuance of EUAs for COVID-19 vaccines  
1478 dependent on that vaccine's ability to prevent the spread?

1479 A No.

1480 Q Similarly, were the biologics licenses for COVID-19  
1481 vaccines dependent on the vaccine's ability to prevent the spread?

1482 A No.

1483 Q Thank you. Moving on a little bit now. Sort of the last  
1484 hour covered some of the questions I had in this section, so I think we  
1485 can be brief. I won't introduce the document unless that's easier, but  
1486 the first FDA emergency use authorization for a COVID-19 vaccine was on  
1487 December 11th, 2020. Is that accurate?

1488 A To my knowledge. I was not at the agency at that time.

1489 Q That's right. So you played no direct role in the  
1490 consideration or authorization of that EUA?

1491 A That is correct.

1492 Q You mentioned during the last hour that within the FDA,  
1493 the evaluation of the safety side of the equation is somewhat of a  
1494 judgment call, I think was how you phrased it. Whose judgment is it to  
1495 make that call?

1496 A It is the generally combined judgment of the clinical  
1497 staff of the agency, the medical people looking at frequency, severity  
1498 of adverse events, and weighing that against the benefits.

1499 Q So when you say the clinical staff, would that be

1500 Dr. Gruber and her team, or someone --

1501 A The clinical side, correct, of that.

1502 Q Okay.

1503 A As well as the center directors also play a role.

1504 Q So who has the final decisionmaking authority on any EUA?

1505 Is it somebody within the Office of Vaccines?

1506 A At the time, the final decisionmaking authority was the  
1507 chief scientist of the FDA, who signed off, finally signed off on the  
1508 EUAs.

1509 Q Thank you. I'll move a little bit to the full approval.  
1510 The minority covered some of these. I'll try not to double efforts too  
1511 much here, but forgive me if that happens a little bit.

1512 Do you recall when the first biologics license application was  
1513 submitted for a COVID-19 vaccine, roughly?

1514 A No.

1515 Q Does about May 2021 sound about right?

1516 A Yes.

1517 Q And we touched on this a little bit earlier, but how long  
1518 does the entire process take under normal circumstances usually? When  
1519 would the action due date typically be targeted for if the application  
1520 was sent in May?

1521 A As I said, I think at the time of the PDUFA agreements,  
1522 the agency agreed that most standard applications would be completed in  
1523 eight months or ten months, and six months for priority. That's what I  
1524 recall.

1525 Q And I think that might include, and correct me if I'm  
1526 wrong, two months on the front end for, like, the rolling application.  
1527 Does that sound right? Is it ten months, total, but eight months with  
1528 the two months at the beginning?

1529 A I don't recall. This has changed multiple times with the  
1530 different PDUFA negotiations, but that's in the ballpark.

1531 Q I understand. So COVID-19 vaccines were under  
1532 accelerated approval. So my understanding, if you look at some of the  
1533 documents, the initial action deadline -- action due date, ADD,  
1534 appeared to be January 2022. But is it accurate to say that there was  
1535 a general understanding that that was too late?

1536 A First of all, accelerated approval as a technical term  
1537 doesn't apply here, okay? So you might say they were -- made the  
1538 review faster.

1539 Q Priority review, is that more accurate?

1540 A They were -- to my understanding, they were under  
1541 priority review, all right?

1542 Q Thank you.

1543 A Can you rephrase the rest of your question?

1544 Q Yes. So under typical priority review, if the  
1545 application was submitted in May, that was supposed to land the action  
1546 due date sometime around January of the next year.

1547 A Okay. As I said earlier, those are the user fee due  
1548 dates that are agreed to by the agency to meet as a target. So the  
1549 agency said, we'll meet 90 percent of the standard, I think. Again,

1550 this has changed, those percentages, over time.

1551           And we agreed to try to meet 90 percent of the priority reviews  
1552 within that timeframe, all right? Where there are medical  
1553 circumstances, the urgency, the agency will review products faster,  
1554 especially if they have a huge impact. For example, cystic fibrosis  
1555 drugs, where people with cystic fibrosis die in their 20s and they get  
1556 very sick in their teens and so forth.

1557           These were life-changing and they were -- I think the first one  
1558 we might have reviewed in six weeks, I'm not sure about that, is  
1559 Gleevec. If you recall Gleevec, that was 20 years ago, but it was a  
1560 game changer for chronic myelogenous leukemia, and some other, and that  
1561 was reviewed very quick. So it depends on the circumstances.

1562           Those dates that you're talking about are what are agreed to  
1563 under the user fee agreements with the industry and with Congress.

1564           Q           I understand. So with that context in mind,  
1565 when -- around May or June of 2021 -- 2021, when, to the best of your  
1566 recollection, was the FDA's target date to finish the review of  
1567 Pfizer's BLA?

1568           A           Again, I'm sorry, I can't remember that.

1569           Q           I understand. I'll try to use the word priority. Do  
1570 priority reviews have different standards than typical reviews?

1571           A           No.

1572           Q           So how is the priority or the accelerated timeline  
1573 achieved typically?

1574           A           It will differ, depending on the circumstances. More



1575 staff can be put on the review, so that the pieces can be done more  
1576 quickly on a different basis.

1577           Very frequently the agency will have known about the findings  
1578 from the trial well before the filing, and so they will have reviewed  
1579 pieces in advance and much of the work could be done in advance before  
1580 the actual application comes in. This is very typical.

1581           When, say, Gleevec or some trial result like that, some  
1582 incredibly life-changing result occurs, then everyone knows. And start  
1583 talking -- the staff will start talking to the company about, what can  
1584 you send us now? What can we get done? So that when the application  
1585 comes in, we just have to do a label and final safety review, and so  
1586 forth.

1587           Q           That makes sense. So my understanding is that some of  
1588 the data that the FDA may, or likely does request from a pharmaceutical  
1589 company is follow-up data after the vaccine's been administered. Is  
1590 that true, safety data?

1591           A           Absolutely.

1592           Q           Does a priority review change the length of time of  
1593 follow-up that is able to be collected, as far as data?

1594           A           Again, this is very particular to whatever the  
1595 application is. For the COVID vaccines, they had been given to an  
1596 unprecedented number of people for a very -- since the time you  
1597 mentioned the EUA for that particular vaccine had been granted, there  
1598 had been a huge amount of exposure.

1599           There were spontaneous report and other surveillance data, safety

1600 data coming in all along. And of course, the agency would be looking  
1601 at that all along.

1602 Now, for 50 years -- well, since PDUFA was passed, okay, the left  
1603 wing has been saying, oh, you're reviewing things too fast, right, and  
1604 therefore, they're not safe anymore.

1605 And I will tell you, it has been profoundly irritating to me,  
1606 okay? The real issue is how competent the review is. And frankly,  
1607 some of our older medical officers, I had intervened in this a long  
1608 time ago. It would take them months to go through a data file because  
1609 they were geezers, like me, okay?

1610 An 18-year-old could have looked at this in two days, and had a  
1611 much more comprehensive understanding that this person who had never  
1612 used a dataset before, you know, is trying to do an analysis on. So  
1613 it's more technical competence, in my very experienced opinion, than it  
1614 is speed.

1615 To answer your question, though, of course, the longer you wait,  
1616 the more experience you accumulate. The question is, how much  
1617 experience do you need to make a conclusion.

1618 Q Thank you. Again, we touched --

1619 A And my apologies if the left wing is in the room.

1620 Q We may have touched on this a little bit. But is it fair  
1621 to say, it is the Office of Vaccines that leads review of a BLA, is  
1622 that accurate? Does the Office of Vaccines lead the review of the BLA?

1623 A Yes.

1624 Q And I sort of asked this about the EUAs, but who has the

1625 final decisionmaking authority on BLA?

1626 A My understanding that that signatory at the time, because  
1627 this has to do with the delegations of authority within the agency,  
1628 that signatory was the office director in the Office of Vaccines.

1629 Q Thank you. Did you play any role in the decision to  
1630 approve the Pfizer BLA?

1631 Ms. Raveendran. Do you need a clarification of the question?

1632 The Witness. It's complicated. I did not play a direct role in  
1633 deciding if, scientifically, it was ready, okay? What I did was ask  
1634 that certain -- make sure certain experts were involved in the review  
1635 process.

1636 BY MR. SPECTRE.

1637 Q Thank you.

1638 A That was my contribution to it.

1639 Q So it's fair to say you didn't provide any scientific  
1640 expertise, it was more directing the employees on how to do that  
1641 scientific valuation?

1642 A Mm-hmm. That's correct.

1643 Q Maybe I'll just be a little more specific. I think we  
1644 touched on this at the very beginning, went through a list of names.  
1645 But did you communicate with any federal government entities regarding  
1646 the Pfizer BLA for COVID-19 vaccine prior to it being issued?

1647 Mr. Cooke. If it's a yes or no question, did you communicate  
1648 with anyone?

1649 The Witness. Yes.

1650 BY MR. SPECTRE.

1651 Q Did you communicate with anyone at the Department of  
1652 Defense prior to the BLA being issued regarding the BLA?

1653 A No.

1654 Q The same question for the White House. Did you  
1655 communicate with anybody at the White House regarding the Pfizer BLA  
1656 prior to being issued?

1657 Mr. Cooke. Again, if it's just --

1658 Mr. Osterhues. A yes or no question.

1659 Mr. Cooke. The topic, that it wasn't discussed, then you can  
1660 answer.

1661 The Witness. Yes.

1662 BY MR. SPECTRE.

1663 Q Did you discuss with the White House the expected ADD as  
1664 it evolved over time for the Pfizer BLA?

1665 Mr. Cooke. Now we're getting more into the substance, and at  
1666 that point, we're not going to be able to answer.

1667 Mr. Spectre. You're instructing the witness not to answer?

1668 Mr. Cooke. Yes.

1669 Mr. Spectre. Thank you.

1670 BY MR. SPECTRE.

1671 Q So we talked about these names a little bit earlier, but  
1672 Dr. Philip Krause and Dr. Marion Gruber, who are they?

1673 A Marion Gruber was the head of the Office of Vaccine, Dr.  
1674 Krause was her deputy.

1675 Q Do you recall Dr. Krause or Dr. Gruber raising any  
1676 concerns with the pace that the FDA was taking regarding the review of  
1677 the Pfizer BLA?

1678 A Yes. I was told of that by Dr. Marks and I spoke to them  
1679 directly.

1680 [Majority Exhibit No. 1 was  
1681 identified for the record.]

1682 BY MR. SPECTRE.

1683 Q I'm going to introduce Majority Exhibit 1. This is an  
1684 email sent on July 8 from Dr. Marks to Dr. Gruber. It's just one piece  
1685 of paper, so you can take one and pass it along.

1686 A I see. I was worried how thick that was.

1687 Q Have you seen this email before? I'll give you a second  
1688 to look at it.

1689 A I don't know.

1690 Q You are not on the email, so you may not have.

1691 A Possibly I saw this before. I don't know.

1692 Q So if you look sort of in the middle of that paragraph,  
1693 Dr. Marks writes, "I need to be able to demonstrate to Janet that we  
1694 are diligently pursuing the process, and this would be very helpful."

1695 Is it fair to say that Janet would be you, Dr. Woodcock?

1696 A That's correct.

1697 Q What do you think Dr. Marks means here?

1698 A Well, I was interrogating him on the process of the  
1699 review, what experts -- I knew there was a very large amount of

1700 post-market data, a very large safety database. We had this pediatric  
1701 cardiology concern, and I wanted to see the project plan for completing  
1702 the review, who was on there.

1703 My goal partly is to help usually in, for example -- like in some  
1704 other parts of the agency during my tenure, I brought other people in  
1705 to assist and get things done. I wanted to make sure. This is a small  
1706 office in CBER, I wanted to make sure they were adequately staffing  
1707 this and they had enough people and so forth.

1708 Q Did you direct Dr. Marks to accelerate the review of the  
1709 BLA?

1710 A I did not.

1711 Q As of this email on July 8, 2021, do you recall when the  
1712 ADD would be?

1713 A I do not.

1714 Q Is it fair to say Dr. Marks says, I need to be able  
1715 to -- excuse me.

1716 In the previous sentence, Dr. Marks writes, "Regarding the Pfizer  
1717 review timeline, by early next week, would it be possible to get a high  
1718 level listing of review activities sorted by week over the course over  
1719 next two and a half months."

1720 Is it fair to say that he is indicating here, and there are some  
1721 other documents that show this data as well, that there was a September  
1722 15th ADD that came along at some point, is it fair to say that's what  
1723 Dr. Marks is referring to there?

1724 A I don't know.

1725 Q Thank you. At this point, do you recall any  
1726 conversations about moving the ADD earlier than January 2022?

1727 A I recall that the center told me that they would likely  
1728 get this done in the early fall.

1729 Q Early fall?

1730 A Mm-hmm.

1731 Q But you don't remember any specific dates?

1732 A Well, based on at this point, no. Based on later  
1733 discussions.

1734 Q That's fair, thank you. I would like to introduce  
1735 Majority Exhibit 2. This is a much longer email chain, a couple  
1736 different email chains, there's some forwarding happening within it.  
1737 So I'll pass this around as well.

1738 [Majority Exhibit No. 2 was  
1739 identified for the record.]

1740 BY MR. SPECTRE.

1741 Q I'll tell you which pages we're referring to at each time  
1742 here.

1743 Mr. Cooke. There's a lot here, so we want to be sure she has a  
1744 chance to get a sense of what she's looking at.

1745 Mr. Spectre. Absolutely. And each question will likely refer to  
1746 a very, very small section of these emails. I will point you to those.

1747 BY MR. SPECTRE.

1748 Q First, if you could flip to the page marked  
1749 SSCPVaccine000069. So that should be an email that Dr. Marks forwarded

1750 to you on Thursday, July 15th, around 10:00 a.m. I will give you a  
1751 second to look at that.

1752 Do you recall this email?

1753 A I do not. But I get -- at the time, I was getting  
1754 hundreds and hundreds of emails every day.

1755 Q Absolutely. Well, I will read a part of it here. "Dear  
1756 Janet, Perhaps we can have a brief call tomorrow? I can fill you in on  
1757 the conversation that I had with Marion and Phil subsequent to their  
1758 sending me this document. I have asked them to provide me with a  
1759 timeline of milestones, and they are meeting with the review team today  
1760 to be able to do so tomorrow morning. That said, they are intransigent  
1761 at this time on the September 15 date."

1762 So firstly, do you recall having a phone call with Dr. Marks as  
1763 he suggests --

1764 A I do.

1765 Q -- happened in this email?

1766 A Yes.

1767 Q And do you recall talking about concerns from Dr. Gruber  
1768 and Dr. Krause regarding the timeline on that call?

1769 Mr. Cooke. So we have something of a reflection of what would  
1770 have been discussed on the call. But to the extent you're asking for  
1771 something beyond the document, I would have to draw the line here.

1772 Mr. Spectre. You're instructing the witness not to answer the  
1773 question?

1774 Mr. Osterhues. What privilege is being asserted?



1775           Mr. Cooke. As I mentioned, these are deliberative conversations  
1776 in which the Executive Branch has a confidentiality interest.

1777           Mr. Osterhues. So what do you think are the deliberative nature  
1778 of these conversations?

1779           Mr. Cooke. I think it's fairly clear, this is about  
1780 deliberations regarding the BLA, and in any event, we're here  
1781 voluntarily.

1782           Mr. Osterhues. Is it about recommendations on policy?

1783           Mr. Cooke. Look, I'm not going to sit here and litigate this  
1784 issue. But to the extent we're getting into the details of  
1785 deliberative conversations, we're not going to get into that here.  
1786 But, look, ask the question and we can see if we can answer it, but I  
1787 just want to put that on the record, to the extent we're getting into  
1788 the details of deliberations of the documents, we're not going to get  
1789 into those here.

1790           Mr. Spectre. Just for the record, you're instructing the witness  
1791 not to answer the question?

1792           Mr. Cooke. Why don't you ask the question again? Sorry.

1793           BY MR. SPECTRE.

1794           Q           So on the call that -- you just testified that you had a  
1795 call with Dr. Marks following this email, did you discuss concerns from  
1796 Dr. Gruber and Dr. Krause about moving the ADD?

1797           Mr. Cooke. Yes, so if you can answer by reference to this  
1798 document and the attachment, I think that's fine. But anything beyond  
1799 that, I direct you not to answer.

1800           The Witness. Okay.

1801           Yes, we discussed that. My concern was not about the timing of  
1802 the -- as I've already said, about the timing of the action, but about  
1803 the fact that they had not produced the Gantt chart. This is  
1804 supposedly a complex BLA. You need to have a project plan, a whole  
1805 project management sheet.

1806           And I could have seen who was on there, how many people were  
1807 staffing this part of the application, who was doing that. I was  
1808 particularly concerned about the clinical review and that there was the  
1809 appropriate and adequate staff on that.

1810           So that was most of the conversation I had with Peter, although  
1811 he reported on, he felt that all the action -- all the activities could  
1812 be completed in a certain timeline.

1813           BY MR. SPECTRE.

1814           Q           You already testified earlier that you had not instructed  
1815 anyone to accelerate the review. But did Dr. Marks tell you that he  
1816 had instructed them to accelerate the review?

1817           Mr. Cooke. Again, if it's not reflected here, we're not going to  
1818 be able to go --

1819           Mr. Spectre. In the email, I will point you to the part where he  
1820 says they are intransigent at this time on the September 15th date.

1821           BY MR. SPECTRE.

1822           Q           I don't see the word intransigent very often, but my  
1823 understanding of that is that Dr. Krause and Dr. Gruber told Dr. Marks  
1824 that they were unwilling to move on the September 15th deadline.

1825           Is it fair to say just looking at this email that Dr. Marks is  
1826           indicating that he had asked them to move the date earlier?

1827           A           Yes, I believe this came up in the context of Marion  
1828           saying she was going to take a month off and go to different country,  
1829           and you know, attend family matters, which was totally appropriate.  
1830           But that would remove the senior clinician from this activity.

1831           Q           So the potential moving of the deadline was, in your  
1832           view, related to Dr. Gruber's leave that she may be taking?

1833           A           I was not in a position to know that. However, I was  
1834           concerned that I did not have in hand a project plan and a Gantt chart  
1835           on how this was going to be done. And, yes, having one of the  
1836           principal leads -- the lead person be absent during the review process  
1837           is always very disruptive to an application.

1838           Q           Before this email, were you aware that anyone had  
1839           concerns about the approval timeline? The date of the email is July  
1840           15th.

1841           A           July 15th. Not to my knowledge.

1842           Q           Okay. So in the same exhibit, if you flip to the page  
1843           ending with 74.

1844           A           Mm-hmm.

1845           Q           This is an email from Dr. Marks to a Deirdre Hussey. Do  
1846           you know who Deirdre Hussey is?

1847           A           I do.

1848           Q           What is her position, if you can recall specifically?

1849           A           She is the -- she was the executive officer of CBER.

1850 Q Is she someone that would be in charge of HR issues for  
1851 CBER?

1852 A HR is a centralized function. She would be someone who  
1853 would be assisting in that -- potentially in HR matters.

1854 Q Okay. So if we look at the email, and I will give you a  
1855 second to read the whole thing. I won't read the whole thing into the  
1856 record here. But at the beginning, Dr. Marks says, "Dear Deirdre, I am  
1857 copying this to you because I think that it is important to document  
1858 that despite repeated verbal attempts, and as documented in the  
1859 attached email, I have asked Marion for a timeline that would help  
1860 justify the September 15 data that she provides for completion of the  
1861 review."

1862 Why do you think Dr. Marks might be documenting his interactions  
1863 with Dr. Gruber to someone who works with HR?

1864 A Well, first of all, Deirdre does not work in HR. She was  
1865 exec officer. It's an administrative position. I do not know why  
1866 Dr. Marks would do that.

1867 Q By this point, and this email is July 16, 2021, to the  
1868 best of your recollection, had the idea of relieving Dr. Gruber and  
1869 Dr. Krause of their duties been discussed?

1870 A I can't recall.

1871 Q To reiterate, was Dr. Marks pressuring Dr. Gruber and  
1872 Dr. Krause to accelerate the deadline?

1873 A My understanding is that Dr. Marks' professional  
1874 opinion -- and he's a very accomplished scientist with industry

1875 experience, as well as deep regulatory experience. He believed that  
1876 activities could be accomplished more quickly, and he was seeking the  
1877 Gantt chart or the timeline that the Vaccine Office had to understand  
1878 why they thought it would take longer.

1879 Q You mentioned a little earlier that one of the  
1880 roles -- in the last hour when the Democrats were asking questions, one  
1881 of the roles of the senior FDA leadership is to insulate FDA reviewers,  
1882 staff, from political pressure.

1883 A Mm-hmm.

1884 Q Would that duty also fall to someone like Dr. Marks?

1885 A Yes.

1886 Q As far as you are aware, was Dr. Marks pressured by  
1887 anybody? You said not yourself. But are you aware of any pressure  
1888 that may have been exerted on Dr. Marks to accelerate the timeline?

1889 A I do not, no. Dr. Marks told me that, in his  
1890 professional opinion, this could be completed more expeditiously with  
1891 the appropriate plan and oversight.

1892 Q Was anybody pressuring you to accelerate the deadline,  
1893 even if you hadn't passed that pressure along?

1894 A No.

1895 Q So it's a little confusing, because I think later on in  
1896 the chain, as earlier on in document -- let me confirm that I have the  
1897 right number for you here. So on the page ending in 58.

1898 A If I could make a slight amendment to my previous  
1899 statement.

1900 Q Absolutely.

1901 A Members of the public wrote me, many of them, about many  
1902 things, including that I would be tried at Nuremburg for being involved  
1903 in the vaccine. But many of them said that they wanted an approved  
1904 vaccine before they would take vaccination.

1905 So some of them said they would not take an mRNA vaccine, but  
1906 they only wanted an approved vaccine and that we had to approve one of  
1907 the other vaccines quickly.

1908 So to be totally correct in my answer, yes, there were people  
1909 writing me and trying to call me and so forth saying, we have got to  
1910 have an approval because I won't -- my family won't take the vaccine  
1911 unless it's approved -- a vaccine unless it's approved by FDA. So  
1912 sorry for that.

1913 Mr. Osterhues. That's helpful.

1914 The Witness. You asked me if anybody. Not if anybody political,  
1915 you asked me anybody. Well, yeah, there were members of the public who  
1916 were very torqued about this and there was a whole campaign about  
1917 younger children. People wrote in. There were many campaigns, pro and  
1918 con, the whole time. So there was a flood of groups and individuals  
1919 advocating on the outside for various positions.

1920 BY MR. SPECTRE.

1921 Q Thank you for the clarity on that.

1922 A Yeah.

1923 Q So I think it's perfectly understandable that you were  
1924 receiving pressure from the public, and you've already testified that

1925 you didn't pass that pressure along to Dr. Marks or to any of the  
1926 employees; is that correct?

1927 A That is correct.

1928 Q Do you think that they probably felt similar pressures?

1929 A I'm sure Dr. Marks got emails, because he was one of the  
1930 faces of the agency in this regard. But we always, also got, as I  
1931 said, we got all kinds of accusations, that we were criminals and so  
1932 forth as well. So that was on the other side.

1933 Q Thank you. So as I mentioned, this email I'm going to  
1934 reference now is on the page ending in 58, which is a little confusing,  
1935 later on in the same chain.

1936 So it appears to be you responding to Dr. Marks, that email where  
1937 he mentioned that Dr. Gruber and Krause were intransigent on the  
1938 September 15th date.

1939 You replied about an hour later and said, "Well, they seem open  
1940 to additional support on other vaccine efforts, and are already working  
1941 with CDER Office of Computational Science, which is a good thing.  
1942 Peter, you can find out more when you take over. JW."

1943 A Mm-hmm.

1944 Q I think you mentioned earlier sort of the computational  
1945 science resources that you were hoping to reallocate from CDER to CBER.  
1946 Is that what you were talking about there?

1947 A That's what I was talking about.

1948 Q And you said, "Peter, you can find out more when you take  
1949 over." Are you referring to Dr. Marks taking over the review from

1950 Dr. Gruber?

1951 A Yes, I believe I was.

1952 Q So is it fair to say that --

1953 A July 16th.

1954 Q By July 16th, you had decided that Dr. Marks would be

1955 taking over?

1956 A That's fair.

1957 Q Did you talk about that on the call we were discussing a

1958 little bit earlier? Is it possible that's when you told Dr. Marks he

1959 would be taking over for Dr. Gruber?

1960 A I don't know.

1961 Q So you don't recall exactly when you told Dr. Marks?

1962 A Clearly within this timeframe.

1963 Q Did you make that decision on your own?

1964 A Yes.

1965 Q And did Dr. Marks request that, or was it your idea?

1966 A It was my idea.

1967 Q And you made it unilaterally? No one else was involved

1968 in that decision?

1969 A I was the person responsible.

1970 Q Thank you. Could you explain why? You said earlier

1971 Dr. Krause was Dr. Gruber's deputy. Why didn't Dr. Krause take over?

1972 A Several reasons. Number one, they had made quite an

1973 issue, and I think it was true they had multiple other vaccines under

1974 review at various stages, including other COVID vaccines.



1975           So the office was very busy at that time. My experience is that  
1976 at the very end of a review cycle, especially if you have to transition  
1977 from one lead to another, it's a very tense time. So I thought the  
1978 Vaccine Office should keep going and get all this work done, and Peter,  
1979 who is very involved in this and was very aware of everything, would be  
1980 the best person to get this one over the finish line.

1981           I was also concerned that they weren't bringing all the  
1982 appropriate resources to bear. And it's very concerning here that  
1983 Peter said that he is trying to get a Gantt chart together. Why didn't  
1984 they have one? You should have all that laid out.

1985           I mean, CDER had hundreds of applications at one time. Every  
1986 single one of them, you know who was working on each piece, when that  
1987 deliverable was expected, and how it was supposed to come together at  
1988 the end.

1989           And here you have this extraordinarily complex application  
1990 because of all the people who had been exposed because of the EUA and  
1991 so forth. And I was just concerned that it didn't have the appropriate  
1992 resources put against it. And I talked to Peter about that.

1993           BY MR. OSTERHUES.

1994           Q           Just to clarify, because toward the beginning of your  
1995 answer there, you said they weren't bringing the appropriate resources  
1996 to bear. So is it CDER?

1997           A           No, no.

1998           Q           Who?

1999           A           The review committee.

2000 Q I'm sorry. Thank you.

2001 A Yeah, I was concerned that, and I had been for -- since I  
2002 heard about the status of this review. You said it was submitted in  
2003 May?

2004 Q Yes.

2005 A So this was July. So all of that should have been in  
2006 place, and, you know, pediatric cardiology working on a follow-up plan  
2007 for myocarditis and so forth.

2008 BY MR. SPECTRE.

2009 Q So is it fair to say that you had concerns with  
2010 Dr. Gruber's performance? Is that fair?

2011 A I was concerned they were treating this sort of business  
2012 as usual, that they had not experienced something this big before, and  
2013 that these -- certain of these side effects were very unusual  
2014 for -- vaccinologists don't usually have pediatric cardiologists as a  
2015 member of their team, for example.

2016 And so that's what I was concerned about, is that they had just  
2017 been treating this more like they would an ordinary -- and leaving  
2018 aside what you think about the vaccine and all that, you have to admit,  
2019 this is a complicated application that needed a lot of attention.

2020 That's why I had been on their case about the computational science  
2021 people who are data scientists, so they can get in the database, so  
2022 they know how to do the analyses very quickly and so forth.

2023 So that was basically -- and then when they couldn't cough up a  
2024 project plan, my level of concern was raised, too. So it wouldn't be a

2025 performance issue in the sense of like bad performance. It was like  
2026 maybe they did not raise their level up to where they should have.

2027 BY MR. SPECTRE.

2028 Q Thank you. Now I would like to introduce a CNN article  
2029 also from July 16th.

2030 [Majority Exhibit No. 3 was  
2031 identified for the record.]

2032 BY MR. SPECTRE.

2033 Q I'll give you a second to look at it. But just on first  
2034 glance, have you seen this article before?

2035 A No, I didn't read all this stuff during this time. I was  
2036 too busy.

2037 Q That is understandable. So we talked a little bit about  
2038 this issue before, but I will just point you to the first two  
2039 paragraphs on the front page there. And it says that an FDA official  
2040 said a decision on full approval was coming, quote, soon. It also says  
2041 that "The FDA official told CNN on Friday that a decision on full  
2042 approval is likely to come within two months."

2043 I know you testified earlier that you have to share these  
2044 expected deadlines with the drugmakers.

2045 A Correct.

2046 Q But that generally this is private information.

2047 A That's correct.

2048 Q And it shouldn't be in the public.

2049 A That's right.

2050 Q Were you the FDA official that shared that with CNN?

2051 A No.

2052 Q Do you know who that may have been?

2053 A No.

2054 Q If you knew who they were, would they have received  
2055 disapproval from you?

2056 A Likely.

2057 Q Is that an offense that would result in some kind of  
2058 punishment within the FDA, or is that just a, don't do it next time?

2059 A I think it depends on the kind of leak. But I have very  
2060 little experience with punishment, because you never -- the reporters  
2061 won't tell their sources, so you don't know who said that.

2062 Q Certainly. So just for clarity for the record, it is  
2063 abnormal and not in the interest of the FDA to have a deadline -- to  
2064 have a quote like this about a deadline be shared publicly in an  
2065 article?

2066 A Well, this is still kind of vague, likely comes within  
2067 two months. So it's desirable for us not to do these things because we  
2068 don't know what we're going to find when we -- but I don't think this  
2069 would necessarily -- the decision, it could be an adverse decision. So  
2070 I think this is more mild than sometimes we see.

2071 Q Is it possible that this being shared publicly, this data  
2072 being shared publicly in the CNN article put undue pressure on the  
2073 Office of Vaccines or other clinical personnel who are responsible for  
2074 ensuring the review?

2075 A I cannot say that, one way or another.

2076 Q Okay. Thank you. We'll set that one aside now.

2077 I believe we'll go back to the long email chain, if you can flip  
2078 to the page marked with the last two numbers are 78.

2079 Mr. Osterhues. That's Majority Exhibit 2 for the record.

2080 The Witness. Okay.

2081 Mr. Spectre. Thank you.

2082 BY MR. SPECTRE.

2083 Q This is an email Dr. Marks forwarded to you on July 16th  
2084 as well. The underlying email is from Dr. Gruber and was sent to  
2085 Dr. Marks. I'll give you a second.

2086 Do you recall this email?

2087 A Well, it obviously -- I was -- it was sent to me, so --

2088 Q But you don't have any particular recollection of it  
2089 today?

2090 A I have seen this email.

2091 Q Thank you. So if you look to the email which was  
2092 forwarded to you, so Dr. Gruber's original email says, "See attached  
2093 our projected timelines for completing currently ongoing reviews, task  
2094 and responsibilities for the above BLA."

2095 Just quickly, Dr. Gruber also CC'd Mary Malarkey and Steven  
2096 Anderson. Who are they?

2097 A Mary was the head at the time of the Office of  
2098 Compliance, and so she would have been overseeing the inspections of  
2099 the facilities. And Steve Anderson does the post-market safety

2100 evaluation, and so they would have been doing the -- most likely, the  
2101 review of the safety -- the nonclinical trial safety information.

2102 Q Thank you. So the following pages within the document,  
2103 within Exhibit 2, are Bates marked with numbers ending in 79 and 80.  
2104 So this appears to be the timeline that you may have been referring to  
2105 earlier that hadn't been prepared as of some previous emails.

2106 A Or coughed up.

2107 Q Now it is being provided. Is that fair to say?

2108 A That's -- this is a timeline, yes, this -- it is not  
2109 complete with staffing. It is more or less a fairly skeletal timeline,  
2110 but it is a timeline, yes.

2111 Q Could you -- and I know there's a lot here, but maybe  
2112 just briefly walk us through what this says here, what exactly this  
2113 timeline is representing?

2114 A What this is representing is the various activities that  
2115 have to be accomplished before an action can be taken by the FDA,  
2116 including also when the inspections would be done and the reports and  
2117 the labeling review, there's a post-market commitment review that has  
2118 to be done and sent to the company and they have to agree to that.

2119 So some of this, as I noticed Marion said in the previous email  
2120 here, is contingent on the timely responses from the companies. So it  
2121 can't necessarily -- that's another reason why the FDA should never  
2122 talk publicly about timeframes, because you don't know if you're going  
2123 to find something and the company will submit in a timely way.

2124 So this simply said what has to be done. It doesn't say who is

2125 going to do it and how these are going to be staffed and so forth, but  
2126 it does go through all different activities. And you can see, as I  
2127 said previously, that toward the end, there is always a cluster of  
2128 activities that need to be accomplished.

2129 Q Thank you.

2130 A The action package has to be all finalized and all the  
2131 different memos and reviews and assessments are all put together.

2132 Q And just for the record, what does it appear that the  
2133 deadline or when the approval is being targeted for as according to  
2134 this timeline?

2135 A Pardon me?

2136 Q So just for the record, what date does this timeline  
2137 indicate the Pfizer BLA will be approved?

2138 A September 15th.

2139 Q Thank you. If you go back to the email on the page  
2140 ending in 78, Dr. Marks writes in his email to you that he "can already  
2141 see a number of potential efficiencies." And asks, "Perhaps we can  
2142 discuss over the weekend in preparation for Monday?"

2143 So a couple questions there. Monday would be July 19th. Is the  
2144 thing Dr. Marks is saying you need to prepare for on Monday, is that  
2145 the meeting between you, Dr. Gruber, Dr. Krause, and Julia Tierney that  
2146 ended up occurring on July 19?

2147 A Most likely, that was when it occurred. I'm sorry about  
2148 my problem with dates.

2149 Q Understandable, and we'll get to more specifics later.

2150 But fair to say that likely is?

2151 A Yes.

2152 Q And similarly to an earlier email, Dr. Marks is  
2153 suggesting that you have a call to discuss these issues. Did you end  
2154 up having a call?

2155 A I don't recall.

2156 Q Did Dr. Marks ever explain to you what "efficiencies" he  
2157 saw?

2158 A Yes, I believe he did.

2159 Q And do you recall what those were?

2160 A Not in detail. They had to do with the conduct of the  
2161 review. Some of these activities, you know, could be completed in a  
2162 more -- faster timeframe.

2163 Q Okay. Now, I would like to talk about your July 19th  
2164 meeting. We've already talked about it a couple times, and you said  
2165 you at least vaguely recall having this meeting. Is that true?

2166 A If you're talking about a meeting that occurred amongst  
2167 Phil Krause, Marion Gruber, Julia Tierney, me, and Peter Marks, yes, I  
2168 recall that meeting.

2169 Q Yes.

2170 A I do not recall that date.

2171 Q Yes, that is the meeting. And was anyone else there  
2172 besides those you just listed, Gruber, Krause, Marks, Tierney?

2173 A To my knowledge, no.

2174 Q And on that note, was this an in-person meeting or was



2175 this on a Zoom call?

2176 A My belief is it was on a Zoom call.

2177 Q Thank you. Do you recall roughly how long this meeting  
2178 went?

2179 A I do not.

2180 Q In your recollection, was it a very long meeting or --

2181 A No, it was not a long meeting.

2182 Q What do you remember discussing during that meeting?

2183 A Well, what I discussed was I said that I was going to  
2184 have Peter finalize this review because Marion was going to go away,  
2185 and I felt that the rest of the office work needed to keep going and  
2186 that this needed full attention to be gotten over the finish line. And  
2187 Peter was very involved in it.

2188 Q Thank you. And that was the reason you gave in the  
2189 meeting for why Dr. Marks was taking over, that it was because  
2190 Dr. Gruber was going on leave?

2191 A Yes.

2192 Q Did you discuss, and we talked about this issue earlier  
2193 already. But in this meeting, did you discuss why Dr. Krause would not  
2194 be taking over for Dr. Gruber?

2195 A To my recollection, because this is a long time ago, I  
2196 said that Phil could -- could continue with the rest of the activities  
2197 of the office and that this very tense activity of getting this  
2198 particular application done would be led by Peter, that the lead would  
2199 transfer to Peter. Dr. Krause had not been the lead. So it's very

2200 typical to transfer the lead to somebody, right, and so I thought this  
2201 was a very good solution.

2202 Q Did the topic of vaccine mandates or mandatory  
2203 vaccination policies come up at all during this meeting?

2204 A Not by me.

2205 Q But do you recall that someone else may have brought them  
2206 up?

2207 A I do not recall.

2208 Q Do you recall, as we've established you said you have not  
2209 pressured anyone to accelerate the timeline. But did Dr. Mark pressure  
2210 anyone during this meeting to accelerate the timeline for reviewing the  
2211 BLA the Pfizer COVID-19 BLA?

2212 A Dr. Marks simply stated he felt it could get done  
2213 earlier. And of course, they had a massive amount of work in the  
2214 Vaccine Office, other applications, other important activities needed  
2215 to be done. It was very important during this emergency to get things  
2216 completed, but in -- to the highest standard of technical excellence.

2217 Q So is it fair to say that in this meeting, Dr. Gruber was  
2218 being replaced, and also it was discussed that the timeline could or  
2219 should be faster than the one that she was working on -- working  
2220 towards?

2221 A I was not saying that Dr. Gruber was going to be  
2222 replaced. Dr. Gruber was going on vacation, and I was putting a very  
2223 senior regulator in charge of this application. My understanding is  
2224 that when Marion came back, she actually remained involved in the

2225 application, so -- and Dr. Krause was stuck in as managing the rest of  
2226 the office.

2227 Q Thank you very much. So we have just a couple minutes  
2228 here, so my last question before we wrap this hour up will be  
2229 referencing an email on the page marked ending in 83 and 84.

2230 A Uh-huh.

2231 Q So at the bottom of 83, and this is kind of a long email,  
2232 so I'll give you a second. It is an email from Dr. Gruber to  
2233 Dr. Marks, yourself, and she CC'd Julia Tierney and Philip Krause. I  
2234 may have asked you this already, but just for the record, who is Julia  
2235 Tierney?

2236 A At the time, she was the chief of staff of FDA.

2237 Q Okay. Thank you. So I will give you a second to look  
2238 over that email because, like I said, it's a bit long. Just let me  
2239 know when you've had a chance.

2240 A Okay.

2241 Q Thank you. I know that's a long one. And we'll refer  
2242 back to this email a little bit more when we pick back up in our next  
2243 hour, but just really quickly, do you generally agree -- let me start  
2244 over.

2245 Is it fair to say this is an email from Dr. Gruber summarizing  
2246 what was, in her view, what you all discussed on your July 19th call?

2247 A Yes, she's summarizing that and also arguing her own  
2248 position, and it's written to Dr. Marks and to me.

2249 Q Thank you. Do you generally agree with her summary of

2250 the meeting?

2251 A She's focusing on the timelines. I did not focus on that  
2252 in my part of the meeting. I focused on the fact that she would be on  
2253 vacation, which is perfectly reasonable, some family time, out of the  
2254 country, not in a position to oversee this very complicated, as she  
2255 said, review.

2256 Dr. Krause is an expert, he's not a clinician, and I asked that  
2257 Peter take over the review. That was what -- a lot of sort of  
2258 extraneous comments in this email about the timeline and other things  
2259 that I don't think were really the heart of the meeting.

2260 Q So to your recollection, you primarily focused on  
2261 Dr. Marks' taking over the lead of the review of the Pfizer BLA?

2262 A Yes, that was my intent of having the meeting and  
2263 explaining that rationale to Marion and to Dr. Krause.

2264 Q But so did Dr. Marks, the things that you did not bring  
2265 up directly that Dr. Gruber refers to in this email, is her summary a  
2266 fair summary of what Dr. Marks had said?

2267 A I'm not in a position to remember.

2268 Q Okay.

2269 A I do not remember.

2270 Q Thank you. We'll talk a little bit more about that  
2271 meeting in just a little bit in our next hour, but we can go off the  
2272 record for now.

2273 [Lunch recess.]

2274 [REDACTED]. Back on the record.

2275 BY [REDACTED] [REDACTED]

2276 Q Dr. Woodcock, we had a few clarifying questions about  
2277 some of the content from the previous hour. One of them is grounded in  
2278 a document. So if you could find Exhibit -- Majority Exhibit 2 and  
2279 within that, it's the Bates numbered page 84.

2280 A Okay.

2281 Q So there is a lot of text there. I will just talk about  
2282 what I'm talking about, and that will spur your recollection. I think  
2283 you have testified previously today that in this meeting, to the best  
2284 of your recollection, you did not mention anything related to the  
2285 linkage between vaccine mandates and BLA approval, that's right?

2286 A That's right.

2287 Q And I think you also said that you are not in a position  
2288 to recall whether anybody else did say something about that, and if so,  
2289 who and what, you just don't recall?

2290 A That's right.

2291 Q Okay, great. I only wanted to clarify because it looks  
2292 like here in Dr. Gruber's write-up of the memo, on page 84, towards the  
2293 top of that page, maybe two-thirds of the way down in that first big  
2294 paragraph, Dr. Gruber said that "you" -- the you was a little unclear  
2295 there. I think she is referring to both yourself and Dr. Marks. I  
2296 don't know, but she said, "You expressed concern about rising COVID  
2297 cases in the US and globally, largely caused by the Delta variant and  
2298 stated your opinion that, absent a license, states cannot require  
2299 mandatory vaccination and that people hesitant to get an EUA authorized

2300 vaccine would be more inclined to get immunized when the product was  
2301 licensed."

2302           So consistent with what we just said, as far as you know, whoever  
2303 said that, that that wasn't you as related to the mandates comment?

2304           A           That's right.

2305           Q           Great. The other component of that, this idea that there  
2306 are folks out there who would feel more comfortable getting an  
2307 immunization if it were the recipient of the full BLA approval, you did  
2308 talk a little bit earlier that was something you had heard?

2309           A           That's correct. And I may have brought that up. I heard  
2310 that from many members of the public, yes.

2311           Q           Great. Is it right to say that at no point in this  
2312 meeting or this series of conversations about the Pfizer BLA that you  
2313 did not feel that the safety or efficacy of the vaccine was ever being  
2314 jeopardized or at risk; is that right?

2315           A           That is correct.

2316           Q           This is also just a minor factual clarification.  
2317 Dr. Gruber going out on leave for this extended period, that was  
2318 already happening for personal reasons, right? That has no linkage to  
2319 this BLA application?

2320           A           That's what precipitated this conversation, when  
2321 Dr. Marks informed me that the lead for this review was actually going  
2322 to be absent during that period of intense effort toward the end of the  
2323 review process.

2324           Q           Those were preexisting personal plans?

- 2325 A That's her plans that she put forward.
- 2326 Q Right.
- 2327 A To my understanding.
- 2328 Q Great. Just one other, it's a minor factual point but on  
2329 Majority Exhibit 3, the CNN article, if you've got in front of you.
- 2330 A Yes.
- 2331 Q As a reader, it felt as if the lead or the source, the  
2332 FDA official -- the article was in the context of an FDA decision,  
2333 right? I don't see at any point that the decision is being predicted  
2334 one way or the other, in terms of approval or denial. It's just a  
2335 decision in the abstract. I don't know if that is also your perception  
2336 as a reader.
- 2337 A People are quoted, which is me and Peter Marks, it  
2338 appeared, as the FDA usual terminology, that action or a decision,  
2339 which is the appropriate way to never telegraph what you're going to  
2340 do.
- 2341 Q And that would be typical with FDA's usual practice?
- 2342 A That's correct.
- 2343 Q Great.
- 2344 [REDACTED]. That's all we have for this round. We can go  
2345 off the record.
- 2346 [Discussion held.]
- 2347 Mr. Spectre. We can go back on the record.
- 2348 BY MR. SPECTRE.
- 2349 Q Just to pick back up a little bit. The minority's

2350 questions just now covered a couple things here, but we're going to go  
2351 back over a couple of the same topics.

2352           Just to start out with, during the Select Subcommittee's hearing  
2353 on February 15th of this year, is that the hearing you mentioned  
2354 earlier that Dr. Marks had mentioned to you -- Dr. Marks was asked if  
2355 he recalled "any conversations regarding the need to approve COVID-19  
2356 vaccines in order for it to then be mandated." Dr. Marks said, "There  
2357 was an acknowledgement that an approval could allow vaccine mandates to  
2358 occur."

2359           And we have touched on this a little bit already, but you said  
2360 that you were aware of that, you were aware that the decision to  
2361 approve the vaccine could lead to or allow vaccine mandates. But do  
2362 you recall any specific conversations discussing that?

2363           A           Only that point. This had been widely raised in the  
2364 press and elsewhere, and I recall people, Peter and others,  
2365 acknowledging this.

2366           Q           Do you remember a specific time that Dr. Marks  
2367 acknowledged this?

2368           A           No, I do not.

2369           Q           Thank you. Does the FDA have any role in shaping  
2370 policies like vaccine mandates?

2371           A           No.

2372           Q           Were you favorable to the idea of mandatory COVID-19  
2373 vaccination in the summer of 2021?

2374           Mr. Cooke. You, meaning, Dr. Woodcock personally?



2375 BY MR. SPECTRE.

2376 Q Dr. Woodcock, in your personal view, were you favorable  
2377 to the idea of mandatory COVID-19 vaccination as of the summer of 2021?

2378 A I had no opinion on that.

2379 Q Okay. Thank you. I will refer back to that same email  
2380 that the Minority was just talking about there.

2381 Mr. Osterhues. And this is Majority Exhibit 2.

2382 Mr. Spectre. Majority Exhibit 2, the page ending 83 and 84.

2383 BY MR. SPECTRE.

2384 Q So just to be clear, and again, you testified to this in  
2385 the last section, but the possibility of mandatory vaccination policies  
2386 played zero role in the rationale for the actions that were taken  
2387 during that meeting. Is that true?

2388 A No, not in my rationale.

2389 Q Not in your rationale.

2390 A And I was the decisionmaker.

2391 Q Thank you.

2392 Mr. Spectre. I will now introduce Majority Exhibit 4.

2393 [Majority Exhibit No. 4 was

2394 identified for the record.]

2395 BY MR. SPECTRE.

2396 Q This is a one-page document. I will let you take a look,  
2397 but this is a memo issued by the Secretary of Defense on August 9,  
2398 2021. So this obviously would have been prior to the BLA decision. I  
2399 will give you a second to look at that. You can let me know when

2400 you're ready.

2401 Looks like you're ready. Are you familiar with this document?

2402 A No, this is the first time I've seen it.

2403 Q Okay. So I guess that answers the question whether you  
2404 recall seeing it between August 9th and August 23rd.

2405 A I do not.

2406 Q I know you just had a brief minute to look at it, and  
2407 this is the first time you've seen it apparently. But just in your  
2408 quick look, is it fair to say that this memo indicates that Secretary  
2409 Austin was planning to mandate COVID-19 vaccination for service members  
2410 as soon as the vaccines received full approval or as soon as President  
2411 Biden gave a waiver, whichever came first? Is that fair to say?

2412 A I don't understand about the waiver, but it sounds --

2413 Q I believe that's a legal issue.

2414 A I don't understand, so I can't answer that. I understand  
2415 what he's saying here is that after -- should there be an FDA  
2416 licensure, which is the proper term, that he would mandate the vaccines  
2417 for military.

2418 Q My understanding is that the waiver was a legal matter or  
2419 a legal issue, where if the vaccine was still under EUA, that the  
2420 Secretary would have to seek a waiver from the President to mandate it.  
2421 It sounds like you don't have any familiarity with that issue?

2422 A I do not.

2423 Q Okay. Since you have not seen this memo before today, I  
2424 assume you were not aware that Secretary Austin was planning to issue a



2450 A Not to my knowledge. He says here about the press  
2451 talking about it. Public reporting suggests that it could achieve full  
2452 FDA licensure early next month.

2453 Q You're reading from the August 9th memo?

2454 A Yes. So that's all I know.

2455 Q So you're not aware of any reason why they would have had  
2456 more specific knowledge of when the BLA would be approved?

2457 A No.

2458 Q Just to round out that section, sorry, I'm trying to  
2459 decide if it's worth introducing as an exhibit or not here.

2460 In the interest of time, we'll just skip to the next section  
2461 here. Talk a little bit about COVID-19 vaccine boosters. Do you  
2462 recall when the first COVID-19 vaccine booster was authorized by the  
2463 FDA?

2464 A No.

2465 Q Does sometime around September 22nd, 2021, does that  
2466 sound correct?

2467 A It does.

2468 Q But when this was authorized in September of 2021, it was  
2469 only authorized for certain individuals; is that right?

2470 A That's my recollection.

2471 Q Does it sound right that it was authorized for people  
2472 over 65, people between the ages of 18 and 64 with a high risk of  
2473 COVID-19, and people 18 to 64 whose occupation put them at a high risk  
2474 of complications from COVID. Does that sound about right?

2475 A That sounds right.

2476 Q And this dose -- this first booster dose was an identical  
2477 formula as the primary two-dose series; is that correct?

2478 A I don't recall, but I believe so.

2479 Q Thank you. As we discussed, the Pfizer vaccine was given  
2480 in August of 2021. Do you know when the FDA began consideration of the  
2481 first booster emergency authorization?

2482 A To my recollection, it was in the previous spring.

2483 Q In the previous spring. Did Pfizer have or any other  
2484 company have to proactively request this, or does the FDA prompt them?

2485 Ms. Raveendran. Could you clarify what "this" is.

2486 BY MR. SPECTRE.

2487 Q Did Pfizer have to proactively request that the FDA  
2488 assess the same doses as being a booster dose?

2489 A Companies have to submit an application for a change to  
2490 their BLA or for an EUA, as like earlier discussed. So, yes, any  
2491 company that wished to have a booster or something like that would have  
2492 to submit an application that had manufacturing control data if it were  
2493 different, as well as clinical data or other data.

2494 As I said earlier, we had put out guidance about what kinds of  
2495 information we would be interested in should variants change, should  
2496 they not change, should immunity drop low, et cetera, et cetera.

2497 Q Thank you. Do you recall when the first public  
2498 announcement was made regarding a plan to authorize a booster dose?

2499 A I do not.

2500 Mr. Spectre. I would like to introduce Majority Exhibit 6.

2501 [Majority Exhibit No. 6 was

2502 identified for the record.]

2503 BY MR. SPECTRE.

2504 Q This is a press release from the FDA from August 18th.

2505 I'm sorry, I got my documents mixed up here.

2506 This is a press release from August 18 that indicates it was  
2507 attributable to you as well as CDC Director Walensky, Surgeon General  
2508 Vivek Murthy, among others, a one page, double-sided document here.

2509 A Thank you.

2510 Q And I will give you a second to review it, but I am going  
2511 to point to a particular paragraph, that's the fourth paragraph. It  
2512 should be on the back here. Excuse me, in the last paragraph on the  
2513 first page. It says, "We are prepared to offer booster shots for all  
2514 Americans beginning the week of September 20 and starting 8 months  
2515 after an individual's second dose."

2516 So at this point, on August 18th, 2021, had the EUA for a  
2517 COVID-19 vaccine booster been -- had the FDA decided on that EUA yet?

2518 A No, the paragraph said, subject to FDA conducting an  
2519 independent evaluation and ACIP's issuing booster dose recommendations  
2520 based on a review of the ACIP.

2521 Q Yes, thank you.

2522 A So it was caveated.

2523 Q Okay, thank you. So President Biden made a similar  
2524 announcement on that same day on August 18, announcing that the

2525 government was planning to offer booster doses the week of September  
2526 20th. Is it fair that you are agreeing with that plan as a goal at the  
2527 very least?

2528 A As a goal.

2529 Q Okay. And within our earlier conversation about sharing  
2530 these expected deadlines outside of the FDA, would you say that this  
2531 announcement falls within the general guidelines of what kinds of  
2532 information can be shared outside the FDA?

2533 A Well, again, as I said, it's caveated about the week of  
2534 September 20th. It's not about an approval, it's about potentially an  
2535 approval but not of a new molecular entity. It's about yet another  
2536 dose, more of the same, so to speak. So it's a little less vague than  
2537 what usually FDA would do, but yet this is within the public health  
2538 emergency.

2539 Q So it's a little bit less vague?

2540 A Yes.

2541 Q Do you think that this potentially less vague  
2542 announcement could put any undue pressure on the scientists who are  
2543 conducting the review?

2544 A Well, it says subject to independent evaluation and  
2545 determination. I think our scientists take that very seriously. They  
2546 have to sign that they agree with it. I certainly never wanted  
2547 anything other than a thorough and complete evaluation.

2548 [Majority Exhibit No. 7 was  
2549 identified for the record.]

2550 BY MR. SPECTRE.

2551 Q I would like to introduce Majority Exhibit 7. The date  
2552 at the bottom left indicates that it's from Volume 398 of the Lancet  
2553 October 9, 2021. You will have to take my word for the fact that it  
2554 was published online initially on September 13th, 2021.

2555 And this is a Lancet article which was coauthored by Dr. Krause  
2556 and Dr. Gruber titled "Considerations in boosting COVID-19 vaccine  
2557 immune responses." It argues that, "Currently available evidence does  
2558 not show the need for widespread use of booster vaccination in  
2559 populations that have received an effective vaccination regimen."

2560 Do you recall this article?

2561 A I knew it was published. I never read it.

2562 Q So I will give you a second to look it over if you would  
2563 like.

2564 A More than a second.

2565 Q Certainly longer than a second to fulsomely evaluate the  
2566 entire article. But if we can just focus on that one quote that I read  
2567 that currently available evidence does not show the need for widespread  
2568 use of booster vaccination in populations that have received an  
2569 effective primary dose regimen. Do you disagree with that assessment?

2570 Ms. Raveendran. Could you point to where in the article it is?

2571 The Witness. Third paragraph.

2572 Basically, it says current evidence does not therefore appear to  
2573 show. It doesn't say does not show. And it would require me to read  
2574 this article to answer your question.



2575 BY MR. SPECTRE.

2576 Q Okay.

2577 Mr. Osterhues. Just a follow-up question.

2578 BY MR. OSTERHUES.

2579 Q You mentioned you had not read this article. Was there a  
2580 particular reason you had not read it, or was it just you didn't -- you  
2581 had a lot to do?

2582 A At the time, I was very well aware of all the data, and  
2583 including data from other countries about the use of boosters and the  
2584 impact, and so forth. So I did not feel -- I know these folks, and I  
2585 did not feel the need to read their argument.

2586 BY MR. SPECTRE.

2587 Q Had you heard this sort of concern raised from within FDA  
2588 prior to September 13, 2021, when this article was published? Similar,  
2589 meaning concerns that the evidence may not or does not show the need  
2590 for widespread use of booster vaccination in populations that have  
2591 received an effective primary regimen?

2592 A Well, first of all, if I may.

2593 Q Certainly.

2594 A They are talking about general population. This wasn't  
2595 indicated -- the booster at the time of approval was not indicated in  
2596 the general population. So you might say they were arguing against  
2597 something that didn't happen.

2598 Q Do you recall when it was expanded to include all adults?

2599 A I do not recall.

2600 Q Does November 19th, 2021 sound --

2601 A I literally can't remember.

2602 Q Okay.

2603 A As you know, there were many iterations of boosting and  
2604 other activities during that following year.

2605 Q Certainly. I won't introduce that, but my understanding  
2606 is that it was the emergency use authorization for a booster for adults  
2607 was issued on November 19th.

2608 A After more data became available, obviously. But I  
2609 just -- I simply would say this article, at least as my brief reading  
2610 of it, is about general population, which isn't -- wasn't the subject  
2611 of the initial booster recommendation.

2612 Subsequently, of course, there were many, many conversations that  
2613 went on in the scientific community about this all around the world,  
2614 not just in the U.S., not just people within the FDA, but all over the  
2615 scientific community about how to deal with this, how to deal with the  
2616 evolution of variants, should a booster be engineered to be more  
2617 forward-looking to cover future variants, how would you predict that.

2618 So this was one of many, many, many. And actually, at least the  
2619 way this is phrased, they were not talking about what happened  
2620 initially with boosters.

2621 Q Sure. Do you recall the first time that President Biden  
2622 publicly said that the booster would be expanded to adults?

2623 A I don't recall when. I know it happened.

2624 Q I'll introduce Majority Exhibit 8.

2625 [Majority Exhibit No. 8 was

2626 identified for the record.]

2627 BY MR. SPECTRE.

2628 Q This is a New York Times article from September 24, 2021.

2629 On page 2 as we printed it out here, near the bottom the paragraph

2630 says, you are going to see that President Biden is quoted as saying,

2631 "'You're going to see that in the near term, we're probably going to

2632 open this up anyway.'" He also said, "We're also looking to the time

2633 when we're going to be able to expand the booster shots, basically

2634 across the board."

2635 So just to be clear, when President Biden said this on September

2636 24th, and I know you said you didn't recall it specifically when the

2637 booster was expanded, but that was in November. Had the FDA made any

2638 determination about authorizing booster doses for more Americans?

2639 A I'm getting really confused here. When was the approval

2640 of the booster?

2641 Q So this is President Biden on the same day that the

2642 booster was announced for that specific cohort --

2643 A Limited population.

2644 Q Exactly. He is quoted as saying the government was

2645 planning to open this up and that "we're going to be able to expand the

2646 booster shots, basically across the board." And for the record, that

2647 didn't occur until November.

2648 So the question was, when President Biden said this, had the FDA

2649 made any determination about authorizing booster doses for all adults?

2650           A           No, they had just made a decision that they stand behind  
2651 for the population that was approved or authorized.

2652           Q           Thank you. Could statements like this potentially put  
2653 undue pressure on the FDA scientists who are conducting the review?

2654           A           I think it was vague enough that I don't actually  
2655 understand what that means, okay, so I wouldn't think it would put too  
2656 much pressure on. But that's speculation on my part.

2657           Q           Okay. And we have asked this sort of question with the  
2658 initial approval. But did you receive any pressure from any government  
2659 official to speed up the review of booster doses authorizations?

2660           A           Not to my knowledge.

2661           Q           Okay. We are going to switch gears a little bit now and  
2662 talk a little bit about adverse events associated with COVID-19  
2663 vaccines. I know my Minority colleagues touched on that a little bit  
2664 in their last hour.

2665           I will start with introducing this article which is a New York  
2666 Times article from just this month, which I am sure you may have seen.

2667                                 [Majority Exhibit No. 9 was  
2668 identified for the record.]

2669           The Witness. Yeah, Apoorva sent this to me but it was behind the  
2670 pay wall so I couldn't read it.

2671           BY MR. SPECTRE.

2672           Q           Here's a copy for you. It was initially published on May  
2673 3rd, and you're quoted a couple times.

2674           Mr. Osterhues. For the record, this is Majority Exhibit 9.

2675 Mr. Spectre. Thank you.

2676 BY MR. SPECTRE.

2677 Q It was published on May 3rd in the New York Times and  
2678 you're quoted a couple times.

2679 A Mm-hmm.

2680 Q Were these quotes that you gave in an interview you gave  
2681 directly to The Times?

2682 A Yes.

2683 Q Do you recall when this interview took place?

2684 A It was after I retired, I think, in February or March.

2685 Q Okay. So since it was recent, I am going to assume that  
2686 it accurately reflects your current belief on these issues generally?

2687 A Yes.

2688 Q I would like to go through each of these quotes and see  
2689 if you can give me a little more context on what you're saying.

2690 The article indicates that you believe that some recipients of  
2691 COVID vaccines "experienced uncommon but 'serious' and 'life-changing'  
2692 reactions beyond those described by federal agencies."

2693 What kinds of reactions are you referring to there?

2694 A I'm referring to reactions that medical science has  
2695 trouble dealing with. A common problem that occurred before this,  
2696 before COVID used to be called chronic fatigue syndrome or myalgic  
2697 encephalitis. And the medical establishment has struggled for 20 years  
2698 trying to figure out what it is and still have no idea. All right.  
2699 That's typically a post viral or post infectious illness. However, I

2700 think it could occur post any immune stimulus.

2701           So to answer your question, folks had brain fog, fatigue,  
2702 prostration, some of them had neurologic symptoms. None of them fit  
2703 neatly into any diagnostic category.

2704           Q           So because they didn't fit neatly inside a diagnostic  
2705 category, you're saying they're harder to be described or analyzed?

2706           A           Well, they're harder to be identified because many of  
2707 these folk struggled for months and months to even be acknowledged that  
2708 there was anything wrong with them. Many of them were told, you know,  
2709 they were just -- it's all in your head. And that's very similar to  
2710 chronic fatigue syndrome, myalgic encephalitis.

2711           Q           Do you think it's all in their head?

2712           A           I believe that like anything in the human sphere, okay,  
2713 some people are going to like 'swing the lead,' as they say, but I  
2714 think that these are real reactions, many of them, and that the people  
2715 are suffering. I will say exactly what I think is said here.

2716           Q           So you said beyond those described by federal agencies,  
2717 or at least that's how it's framed in the article. Which in your view  
2718 have been described by federal agencies?

2719           A           Well, certain immune reactions are well known post  
2720 vaccination. Guillain-Barre syndrome, idiopathic thrombocytopenia, and  
2721 in some people say that other neuropathies. But these others are not  
2722 acknowledged to be vaccine adverse events because they don't have a  
2723 medical definition, nobody knows what they are. And so -- and if  
2724 somebody comes in and complains of brain fog, what are you going to do

2725 with that?

2726 So I think there are well-known reactions to vaccines and to  
2727 viruses that occur and are easily categorized because they have  
2728 distinctive syndromic characterizations. But this isn't one of them.

2729 Q You were also quoted as saying that you "feel bad for  
2730 those people" and you "believe their suffering should be acknowledged,  
2731 that they have real problems, and they should be taken seriously."

2732 I think we just talked about that a little bit, but is there  
2733 anything further you wanted to share on that topic?

2734 A Well, I tried, I harassed the people at CBER to certainly  
2735 search worldwide databases repeatedly at my insistence to look for  
2736 this. They could not find a signal. So that means scientifically it  
2737 wasn't there, all right?

2738 They got these reports, too. I talked to many of the people who  
2739 had this, many times. I even talked to NIH, I talked to even see if  
2740 they would add an arm, because this is very similar to some of the  
2741 things that people get with long COVID. You get-- people get long  
2742 COVID much more frequently after getting COVID than they do getting  
2743 this after vaccination. But it does seem to happen.

2744 So I wanted to get it studied because I think what the first  
2745 thing we need is study. And the NIH study on long COVID is looking for  
2746 syndromic definitions. That's mainly what they're doing, they're  
2747 trying to find syndromic clusters so they can name these conditions in  
2748 the same way that people get POTS, postural orthostatic tachycardic  
2749 syndrome, which is another probably autonomic neuropathy, okay?

2750           So my goal was to try and, number one, get the people studied so  
2751 they can be acknowledged, that they were having a problem, and also  
2752 start working up ideas of treatment. Now, I wasn't really that hopeful  
2753 because CFS ME has gone so long without effective interventions.

2754           But Dr. Nath over at NIH had done some work with some people and  
2755 given them IVIG early in their -- they had more, he's a neurologist.  
2756 They had more predominant neurologic symptoms and those people got  
2757 better.

2758           That doesn't really mean anything, because some people get  
2759 better, right? But at least to define these syndromes, so that they  
2760 would show up in the databases. So if somebody had this and they went  
2761 in to a doctor, they wouldn't just send them home and say that  
2762 the -- just send them home and say you need to rest more.

2763           Which is similar with people with long COVID at first, right, you  
2764 know, you had a bad virus infection, you need to rest, take vitamins,  
2765 right?

2766           Q           You said that the symptoms are at least somewhat similar  
2767 between long COVID and some of these other neuropathies you're  
2768 describing.

2769           A           Yes.

2770           Q           Does it seem to you that long COVID has been taken more  
2771 seriously by many than these neuropathies associated with COVID  
2772 vaccines?

2773           A           Well, I would say when long COVID happened at very first,  
2774 there weren't that many people who had it, so it wasn't taken that



2775 seriously, right? There were other things to worry about, like people  
2776 dying in hospitals on ventilators and ECMO and everything.

2777 Eventually, there was such a huge number of people who got this  
2778 post viral syndrome that it couldn't be ignored, whereas it's still not  
2779 that many people who have this.

2780 Q You mentioned that you were -- I forget the exact  
2781 language you used, but that you were trying really hard to get CBER to  
2782 look into some of these things.

2783 A They did.

2784 Q And you do believe that they did?

2785 A They looked into it. As I said, they went and searched  
2786 international databases, they asked our international regulatory  
2787 colleagues to look. But where are the search terms, you know? Even  
2788 POTS. Some of these patients told me or some of maybe the physicians  
2789 told me that POTS--there were only a few centers in the United States  
2790 that actually can definitively diagnose it.

2791 So how are you going to get a person like in Iowa or something,  
2792 they go to their general practitioner and say, I can't stand up  
2793 anymore. I mean, how are they going to get into the system and get  
2794 properly diagnosed? And that was -- these are like still my concerns  
2795 about this.

2796 Q You also say in the article that you are, quote,  
2797 disappointed in yourself and that you, quote, did a lot of things you  
2798 feel very good about, but this is one of the few things that you feel  
2799 you just didn't bring home.

2800           What did you mean by that?

2801           A           Well, I wished I could have gotten an arm of the study  
2802 over at NIH started, for example, but it was really hard. I talked to  
2803 the companies, but how are you going to get them to do it unless you  
2804 actually have scientific data?

2805           Q           A study on?

2806           A           Well, there's a study called Recover at NIH and it's on  
2807 post COVID, and they're studying all different phenotypes, they're  
2808 called different clusters of symptoms of COVID, post COVID, long COVID,  
2809 whatever you want to call it.

2810                   And they are trying to categorize them, and then they are going  
2811 to try to look at interventions. What if you took an antiviral for a  
2812 long time or what if you took an immunosuppressant, would you get  
2813 better? And so forth.

2814                   I was thinking at a norm of post vaccine, post COVID like  
2815 syndrome, and get them characterized. And then hopefully maybe they  
2816 could get treated. But I failed to get that done. I feel bad about  
2817 it. I feel bad for these people.

2818           Q           Why do you think that that stalled?

2819           A           Well, I had too many things to do. And I think the main  
2820 reason is without a signal, you know, like we get a lot of signals in  
2821 our real--, like I was telling you earlier. You have to work them up  
2822 and they aren't causally related. That requires some strong  
2823 causality -- Potentially causally related signals hardly get the  
2824 companies to pay for it. They would have had to pay for a study like

2825 that at NIH.

2826 Q So do you feel that others within FDA took this as  
2827 seriously as you did?

2828 A No.

2829 Q Where within FDA should have taken this more seriously?

2830 A Well, it isn't that. They tried. CBER, it was their  
2831 responsibility and the post-market safety people I talked to them  
2832 numerous times, had emails with them and they tried, they looked. But  
2833 they're data driven. I talked to all these people because I'm a  
2834 doctor, okay, so I talk to them.

2835 And I was convinced many of them were like very pro-vaccine type  
2836 people, you know, but their lives have just been wrecked. And I was  
2837 convinced -- as I told you earlier, I'm a rheumatologist or trained in  
2838 rheumatology and immunology. So I've seen a lot of these odd immune  
2839 reaction type of things, and that's what I think this probably is.

2840 Q But you said you have spoken with lots of patients, and  
2841 we talked earlier, you talked with advocacy groups. Was one of these  
2842 groups React 19 or Brianne Dressen of React 19?

2843 A I spoke to Bri Dressen. I don't know if she was part of  
2844 React 19 or not. This was quite a while ago, yes.

2845 Q And do you feel that -- you already talked about this a  
2846 good bit, but do you feel that you've adequately -- you shared those  
2847 concerns that were brought to you by patient groups or individual  
2848 patients within the FDA or outside of the FDA?

2849 A I'm sure people thought I overshared them. I was very

2850 vociferous. You know, as you probably know, I'm very outspoken. I  
2851 said I think these are real reactions, I think it's very difficult to  
2852 categorize them medically. I think we need to do a better job, blah,  
2853 blah, blah. I did.

2854 Q And did you share those thoughts outside of FDA as well?

2855 A Well, yeah, certainly I talked to the advocates. Yeah, I  
2856 was not silent about this. I talked to NIH about getting a trial arm  
2857 going. But they only had a certain amount of money. They had already  
2858 dedicated that to the post COVID trial. They would have needed  
2859 somebody to finance another arm.

2860 Q That makes sense. Did you ever share these thoughts  
2861 publicly, I guess, other than in this New York Times article that we're  
2862 discussing?

2863 A Yes, I did. I wasn't perhaps as -- here, I was honest  
2864 that I felt I had not gotten this done because I have gotten many  
2865 things done in my career.

2866 Q Okay.

2867 A Many pieces of legislation, many this, that, and the  
2868 other thing. I just feel I couldn't get this to a place where I felt  
2869 good about it.

2870 Q Just skipping ahead a little bit, because you have shared  
2871 a lot that covers some of the other questions I've asked here. But  
2872 what role does the FDA play in surveilling for or assessing possible  
2873 safety signals associated with the vaccine? What is FDA's role in  
2874 that?

2875           A           The FDA's primary role in the United States is-- CDC  
2876 shares that role whereas for pharmaceuticals, for example, it's  
2877 strictly FDA. So FDA, I would say, is the primary post-market  
2878 surveillance vaccine entity.

2879           Q           This New York Times articles mentioned that the European  
2880 Medicines Agency has linked the Pfizer and Moderna vaccines to facial  
2881 paralysis, tingling sensations and numbness, and also considers  
2882 tinnitus as a side effect of the Johnson & Johnson vaccine. Were these  
2883 issues, those conditions I just said, assessed by the FDA?

2884           A           I don't have direct knowledge of that, but I am sure they  
2885 were.

2886           Q           Shingles, facial paralysis, tingling, numbness?

2887           A           Bell's palsy is a post -- can be a post-viral syndrome.  
2888 So whether or not it's elevated, I'm sure these were assessed by the  
2889 FDA based on signals that were received and determined to be or not be  
2890 worthy of being put in the label.

2891           Q           And so since the European Medicines Agency linked some of  
2892 these and the U.S. has not, I understand you don't have direct  
2893 knowledge of the assessment of those conversations, but just in your  
2894 view, why do you think the U.S. is seeing different results than other  
2895 countries?

2896           A           Well, that doesn't occur uncommonly with drug regulation.  
2897 These are, to some extent, as I've said, a matter of judgment. The FDA  
2898 looks very carefully, does the signal evaluation of every signal and  
2899 they decide which ones they feel might be causally related. And those

2900 are the ones that are usually put in here.

2901           So that -- it's a difference of opinion about whether they were  
2902 causally related. Because these events that we were talking about  
2903 here, tinnitus, facial, Bell's palsy, and so forth, occur spontaneously  
2904 as well. So you have to try to distinguish those.

2905           Q           Do you believe FDA's systems or procedures to identify  
2906 causal links are robust enough?

2907           A           Well, as I said earlier, I feel that because U.S. has a  
2908 fragmented health care system that it is more difficult to consolidate  
2909 the records. That was complicated in the case of COVID vaccines  
2910 because it was very difficult to link vaccination recipients with their  
2911 medical records because they would get a vaccine at some big, you know,  
2912 stadium or anywhere. And then that record wouldn't necessarily go with  
2913 their other health records. So the FDA -- and these events that we're  
2914 talking about here are rare enough you don't see them in clinical  
2915 trials, right? Generally speaking.

2916           So the FDA also, and CBER also, as I said, relies upon the data  
2917 and gets data from the other regulators. So those data are by no means  
2918 mysterious or secret. The agency gets to see those as well. So they  
2919 would know the basis upon which the EMA or other regulator decided if  
2920 Bell's palsy was linked and would overtly decide whether or not they  
2921 agreed with that.

2922           Q           Thank you. The New York Times article also mentions the  
2923 U.S. officials were not the first to identify myocarditis in young  
2924 people, that Israeli officials first raised the alarm in 2021, but U.S.

2925 officials hadn't seen the link. Why do you think the U.S. was behind  
2926 in identifying the link with myocarditis?

2927 A Because Israel had a national health care system.

2928 Q I guess that answers -- what could be done to fix that,  
2929 in your view?

2930 A Better linkage of vaccination records with medical health  
2931 records.

2932 Q Okay. Thank you very much. I am going to introduce  
2933 Majority Exhibit 10.

2934 [Majority Exhibit No. 10 was  
2935 identified for the record.]

2936 BY MR. SPECTRE.

2937 Q This is a document that the FDA produced to the Select  
2938 Subcommittee, CBER Sentinel Program Sufficiency Memorandum, and it  
2939 indicates that on May 18th, it's for a product that was submitted on  
2940 May 18th, also action due date is January 16, just the bottom left of  
2941 the page indicates that the document was last updated January 29, 2019.  
2942 I believe that is inaccurate, or at the very least, it's just a  
2943 reflection of when the template was updated.

2944 A I see.

2945 Q Is that your view?

2946 A Probably -- I don't know.

2947 Q Just for the record, it's referring to a biologics  
2948 license application that did not exist as of January 2019. So just for  
2949 the record.

2950 A Yes.

2951 Q It appears that that is a different date. Are these  
2952 memorandums standard?

2953 A I do not know.

2954 Q Have you seen this one before?

2955 A I have not.

2956 Q The second page defines the objectives and scope of the  
2957 memo, which it says is, "reviews the capability and sufficiency of the  
2958 CBER active post-market risk identification and analysis system  
2959 referred to as the CBER Sentinel Program to evaluate the serious risk  
2960 for myocarditis and pericarditis following receipt of BNT162b2."

2961 At the top of page 6, there is a block of blue text, it's vaguely  
2962 blue here on my printout, which begins with "Available data sources in  
2963 the CBER Sentinel Program are NOT sufficient to identify the outcomes  
2964 of myocarditis and pericarditis due to reasons identified in 2.3.1,"  
2965 and so on.

2966 What does that mean?

2967 A My understanding is that, first of all, Sentinel was a  
2968 CDER-driven -- is a CDER-driven program. I set this up, which is an  
2969 active surveillance program to monitor -- and as they say here, at the  
2970 time it was under contract, activities conducted through the contract  
2971 of Harvard Pilgrim Health Care Institute, the current and future  
2972 contracts through BEST, interagency agreement with Medicare and  
2973 Medicaid.

2974 Okay, so it's all of those, okay? So go ahead and ask me that



2975 again, please?

2976 Q Sure. Certainly. It says at the top of page 6, there is  
2977 a block of blue text that says, "Available data sources in the CBER  
2978 Sentinel Program are NOT sufficient to identify the outcomes of  
2979 myocarditis and pericarditis due to reasons identified" -- the chart  
2980 identifying those reasons is on page 5, if you want to take a look.

2981 But what does that mean, that CBER Sentinel is not sufficient to  
2982 identify the outcomes of myocarditis and pericarditis?

2983 A Well, what they're saying is the data elements or sources  
2984 that they currently have available or collect cannot adequately  
2985 characterize that risk, okay? And mainly -- and you're making me do  
2986 this really fast.

2987 But mainly, if you look at 2.3.1, right, in the chart there, and  
2988 why is that. First of all, they say there aren't any biomarkers that  
2989 are very reliable. That's element 2 there.

2990 But mainly element 5, the algorithm for, as I was saying,  
2991 research definition. And they say no, okay? That's very similar to  
2992 what I was talking about with this adverse, the adverse event of brain  
2993 fog, and if there's no algorithm here.

2994 Of course, myocarditis is a much better defined entity, but  
2995 they're saying that it's not in the literature, there isn't a really  
2996 good algorithm, they can't search all these claims data and so forth  
2997 and reliably identify myocarditis.

2998 Is that helpful?

2999 Q That is. And it's not clear to me given the date on the

3000 bottom left appears to be inaccurate when this memorandum was filled  
3001 out. But clearly at some point between May 18th, 2021 and January 16,  
3002 2022, given the timeline of the BLA which is also on the front.

3003 Does this memo indicate that the FDA's Sentinel Program was not  
3004 sufficiently capable to assess the risk of myo and pericarditis?

3005 A I think -- can I answer your question in a larger  
3006 context?

3007 Q Certainly.

3008 A I believe when Sentinel was authorized by Congress, we  
3009 were told you had to look at Sentinel first before you put in  
3010 post-market convention and so forth, to see if it were adequate alone,  
3011 if active surveillance alone would be adequate to further characterize  
3012 the risk.

3013 So just -- this is my take on this, having very briefly looked at  
3014 this memo. My assessment of this is that, no, you need  
3015 additional -- the answer is no, you can't just rely on Sentinel. The  
3016 whole -- and BEST and so forth, the Medicare database. You need to put  
3017 in place other specific post-market requirements for this because just  
3018 use of generic active surveillance won't get you the answers.

3019 Q So in your view, did FDA sufficiently analyze the risk of  
3020 myo and pericarditis?

3021 A Yeah, this isn't related to analyzing it. It's related  
3022 more or less to follow it up. I think cases that were identified were  
3023 very carefully looked into and also that although our population's not  
3024 identical to other populations, the incidence in other countries that

3025 again had national health care systems, so they did -- they knew  
3026 everyone who was vaccinated between the ages of 15 and 40 or whatever,  
3027 and they were able to catch all the cases of myocarditis.

3028           So the incidence of myocarditis following first vaccination and  
3029 follow up second vaccine and so forth could be characterized better by  
3030 those -- by those data sets than by the U.S. experience. However, I  
3031 think that what they say here -- this is what they say, which is what I  
3032 said.

3033           The Sentinel Program, this is number 3 in the second box.  
3034 "Sentinel Program is NOT sufficient to assess the serious risks," "in  
3035 lieu of PMR safety studies under FDA Amendments Act."

3036           So the FDA Amendments Act said you have to look and see if  
3037 Sentinel would work first, and that's what this is about.

3038           Q           Okay.

3039           A           And this is saying this didn't work, we need a  
3040 post-market study or registry or whatever type of thing, which is what  
3041 I was saying about pediatric cardiologists, all right?

3042           Q           Thank you. That's helpful context. Do you recall any  
3043 conversations discussing the downplaying of the risk of myo or  
3044 pericarditis?

3045           A           With whom? What are you talking about?

3046           Q           Do you recall any conversations within the FDA about  
3047 downplaying the risks that the vaccines have of myo and pericarditis?

3048           A           No, I mean, there was intense interest in figuring out  
3049 who got it, who was at risk, how common was it, what were the sequelae,

3050 how quickly did people recover and so forth.

3051 Q Thank you. I will show you Majority Exhibit 11.

3052 [Majority Exhibit No. 11 was  
3053 identified for the record.]

3054 BY MR. SPECTRE.

3055 Q This is a National Academies report titled Evidence  
3056 Review of the Adverse Effects of COVID-19 Vaccination and Intramuscular  
3057 Vaccine Administration. It was issued in April of this year.

3058 Have you seen this before?

3059 A No, I have not.

3060 Q I'll give you a second. This is just a summary of a  
3061 longer report, from what I understand. So it's not super long if you  
3062 want to give it a brief look-at, but it indicates that the evidence was  
3063 insufficient to establish, favor acceptance of, favor rejection of the  
3064 65 potential relationships between vaccines and possible harms.

3065 Mr. Cooke. That's the bottom of the first page?

3066 Mr. Spectre. Yes.

3067 The Witness. Okay.

3068 BY MR. SPECTRE.

3069 Q As I mentioned, the report indicates that the evidence  
3070 was insufficient to establish, favor acceptance of, or favor rejection  
3071 of 65 potential relationships between vaccines and possible harms.

3072 What does that mean?

3073 A Well, when you give an intervention, and I'm talking  
3074 generally, a drug, give it to millions of people, they're going to have

3075 everything. They're going to get hit by a bus, they're going to have  
3076 hair loss, they're going to get illnesses, they're going to have  
3077 gallbladder attacks, gout. So you have to figure out, right, are any  
3078 of these causally related? Sometimes it really surprises you. We've  
3079 had relationships that really surprised us for drugs, right?

3080           So you vaccinate hundreds of millions of people, they're going to  
3081 have everything under the sun happen to them, okay? If you gave them  
3082 saline, the same thing would occur, generally, except those that are  
3083 causally related. And it's picking a needle out of the haystack. The  
3084 rarer they are and the harder they are to define medically, the less  
3085 likely you are to be able to tell whether they are causally related or  
3086 not. If they're extremely common and there's a very small increase  
3087 caused by the vaccination, you may be unlikely to find that, too,  
3088 except in a randomized study.

3089           So that's what they're saying.

3090           Q           So do you believe -- well, you touched on this a little  
3091 bit already. But do you believe our surveillance systems have blind  
3092 spots when it comes to data?

3093           A           Well, what I said before about the very strange severe  
3094 post vaccination reaction, some individuals are having, it's a not  
3095 blind spot on the surveillance system. It's a failure of the medical  
3096 construct to recognize these syndromes, which is different. You could  
3097 have tremendous amount of surveillance, you would still miss it because  
3098 people wouldn't recognize the person in front of them, what they had,  
3099 because there's no name for it. You may have talked to some of these

3100 people and they have all kind of terms.

3101           So your question about our surveillance system, the answer is it  
3102 depends on how fine you want to grind the grain, all right? Our  
3103 surveillance system can obviously pick up and did, according to this, a  
3104 lot of the major adverse events related to these vaccines because our  
3105 health care system is so fragmented.

3106           In the U.S., it's much more difficult to find the less likely  
3107 things. So there's quite a bit of reliance on other health care  
3108 systems where they know the status of every individual. And frequently  
3109 the safety staff at FDA have gone to other countries for vaccines to  
3110 look for those things.

3111           Q           Thank you.

3112           Mr. Spectre. We are just about out of time, so we can go off the  
3113 record.

3114           [Recess.]

3115           ██████████. Back on the record. One quick question for me  
3116 and it's a factual question.

3117           BY ██████████.

3118           Q           My understanding, is it right that back on the BLA, the  
3119 Pfizer BLA that Dr. Gruber signed the final BLA for the Pfizer vaccine;  
3120 is that right?

3121           A           That's my understanding.

3122           Q           And is it also right that that fact has some sort of  
3123 regulatory significance, basically an endorsement or something like  
3124 that? Could you explain your understanding of that?

3125           A           That's correct. It's actually in the FDA regulations,  
3126 and if you don't agree with everything that you're signing to, you have  
3127 to write a different memo or you should not sign that.

3128           Q           Great. Thank you.

3129           BY ■■■■■ ■■■■■.

3130           Q           So, Dr. Woodcock, I just wanted to follow on to something  
3131 that my Majority colleagues were discussing, which is instances of  
3132 vaccine-related adverse events or vaccine injuries.

3133                   Just to clarify for the record, do you agree that adequate and  
3134 comprehensive compensation for individuals who experience rare but  
3135 serious adverse events relating to vaccines is an important element of  
3136 promoting confidence in vaccines?

3137           A           I agree with that.

3138           Q           Would you care to elaborate on why that is?

3139           A           Because any medical intervention will cause some harm as  
3140 well as some -- as well as major benefit. So the statutes say safe and  
3141 effective, but safe really means relative to the magnitude of the  
3142 benefit, not without any harm.

3143                   So people who are taking vaccines are not only protecting  
3144 themselves but doing it to protect others, and so forth. And my  
3145 understanding is the Vaccine Incentive Compensation Act was passed in  
3146 order to recognize that people can be harmed and to adequately  
3147 compensate them and protect them.

3148           Q           As you were just alluding to, evaluating products for use  
3149 is an exercise of looking at the safety of the product versus the

3150 safety of outcome for which the product is seeking to prevent or  
3151 reduce. At the end of the day, people contracting COVID-19 are  
3152 experiencing adverse health outcomes including myocarditis and other  
3153 complications at greater frequency and at greater severity than  
3154 instances of vaccine-related injuries or adverse events; is that  
3155 correct?

3156 A That's correct.

3157 Q I briefly want to revisit a few of the exhibits that the  
3158 Majority introduced in the last round. If we could start with the  
3159 Lancet paper which is Majority Exhibit 7.

3160 A 'Considerations,' uh-huh.

3161 Q And I actually wanted to focus in on a statement that you  
3162 had sort of highlighted in the previous round. It is that last  
3163 paragraph on the first page here. I will read it for the sake of the  
3164 record. It states, "Current evidence does not, therefore, appear to  
3165 show a need for boosting in the general population, in which efficacy  
3166 against severe disease remains high."

3167 Now, in our first round of questioning from the Minority side, we  
3168 discussed the various processes that were in place through the FDA  
3169 approval and authorization processes relating to maximizing consumer  
3170 safety and insulating these processes from political interference.

3171 As I understand it, one aspect of these processes is the  
3172 convening of the advisory committees, and in this instance that was the  
3173 Vaccines and Related Biological Advisory Committee or VRBPAC. Is that  
3174 correct?



3175 A Yes, that's correct.

3176 Q So when VRBPAC was convened to evaluate the EUA for the  
3177 booster, ultimately VRBPAC did not recommend that the booster be  
3178 applied or administered across general populations. Rather, it  
3179 recommended that the booster be recommended for a narrower set of  
3180 populations, elderly individuals and people with immunocompromised  
3181 status or immune complications; is that correct?

3182 A That's correct.

3183 Q So, functionally, what we are seeing here in the Lancet  
3184 paper by Dr. Krause, Dr. Gruber, and others, that suggestion is not  
3185 what FDA ultimately proceeded with, consistent with VRBPAC's  
3186 advisement?

3187 A That's correct.

3188 Q Thank you. I also wanted to briefly revisit Majority  
3189 Exhibit 10. This is the Sentinel document.

3190 A Yes.

3191 Q And I actually wanted to quickly go back to the paragraph  
3192 that my Majority colleague was focused on, which is in a slight shade  
3193 of blue on page 6.

3194 A Okay.

3195 Q If you would like to take a moment just to sort of  
3196 refamiliarize yourself with this paragraph, please do, and let me know  
3197 when you're ready.

3198 A I'm ready.

3199 Q So four or five lines into this paragraph, there is a

3200 clause that reads, "the CBER Sentinel data sources are not sufficiently  
3201 powered to assess the magnitude of risk for the 12-30 years old"  
3202 population "that has been reported in VAERS in an epidemiology study."

3203           So to paraphrase that, but would you agree that is what that  
3204 statement says?

3205           A           Yes.

3206           Q           So in epidemiology and in statistics, when we are  
3207 discussing power, we are discussing the ability of a methodology  
3208 working with a dataset to detect an adverse outcome or an incident,  
3209 basically to reject a known hypothesis.

3210           A           Yes.

3211           Q           Is that correct?

3212           A           That's correct.

3213           Q           So we see later in this paragraph, there are a few  
3214 different sentences, one of which is referencing, I'll quote here,  
3215 "CBER Sentinel data sources do not have sufficient longitudinal data on  
3216 patients to conduct this type of analysis."

3217           In the preceding sentence, it's referencing that a minimum  
3218 follow-up time of three to six months is required to assess and  
3219 adequately capture long-term sequelae. Do you agree with that  
3220 characterization of this paragraph?

3221           A           Yes.

3222           Q           So when we're talking about the power of a data source  
3223 and a statistical analysis to detect an adverse outcome, of course  
3224 longitudinal data, adequate data is necessary to have a powerful enough

3225 statistical exercise to reach a conclusion; is that correct?

3226 A Well, it's more like the number of events.

3227 Q Right.

3228 A To reach a conclusion.

3229 Q Right. And so at the end of the day, the fact that the  
3230 myocarditis outcome may not be detected or the CBER Sentinel Program is  
3231 not sufficient to identify outcomes of myocarditis and pericarditis due  
3232 to the reasons identified on the preceding page, that is not  
3233 necessarily a commentary on the Sentinel Program as an apparatus.  
3234 Rather, it is a commentary on the data that is available, the frequency  
3235 and the outcomes and the combination at which those two phenomenon will  
3236 allow the Sentinel Program to detect rare but serious adverse outcomes  
3237 like myocarditis and pericarditis?

3238 A Yes. The Congress told FDA in the FDA Amendments Act  
3239 that they had to assess the ability of Sentinel Program writ large to  
3240 adequately monitor an adverse event prior to putting in place a  
3241 requirement on the company that they have a registry or some other type  
3242 of post-market study, feeling that the active surveillance, you know,  
3243 taxpayers have paid for that, and it will be sufficient for certain  
3244 things.

3245 So this was assessing the sufficiency of an active surveillance  
3246 system to detect and monitor these adverse event outcomes and determine  
3247 that Sentinel alone would not be sufficient.

3248 Q And then just on the point about long-term data necessary  
3249 to evaluate, detect, and react to long-term sequelae. We heard in the



3275 identified for the record.]

3276 BY MR. SPECTRE.

3277 Q This is a British Medical Journal investigation published  
3278 in November 2023. It is titled, "Is the US's Vaccine Adverse Event  
3279 Reporting System broken?"

3280 Have you seen this article before?

3281 A I have not.

3282 Q Then I will give you a second to take a look at it. But  
3283 I would first point you to the first heading, Understaffed, where it  
3284 says that VAERS is comanaged by the US Centers for Disease Control and  
3285 Prevention and the Food and Drug Administration.

3286 What is the FDA's role in managing VAERS, as far as you're aware?

3287 A I'm not exactly sure of the relationship between CDC and  
3288 FDA in managing VAERS, I'll be honest.

3289 Q That's okay.

3290 A I set up FAERS, but I never actually deeply involved  
3291 myself in VAERS.

3292 Q Okay. Under the next header, which is at the bottom of  
3293 the back of the first page, The User Experience. It discusses an  
3294 instance where a doctor submitted a VAERS report in 2022 for a  
3295 seven-year-old boy who had a cardiac arrest after COVID vaccination,  
3296 the boy died a week later, but the doctor was unable to update the  
3297 report in VAERS.

3298 Why don't you just look at that for a second.

3299 I can give you additional time to read it, but just for context,

3300 Dr. Whelan appeared at the Select Subcommittee's hearing on March 21st,  
3301 and testified that nobody at CDC or FDA followed up about this instance  
3302 of this 7-year-old boy until he brought it to Dr. Marks' attention  
3303 directly and then was ultimately able to meet with staff members at the  
3304 FDA.

3305 Are you familiar with that case with Dr. Whelan?

3306 A I am not.

3307 Q So I assume you are not involved with that meeting; is  
3308 that correct?

3309 A No, I was not.

3310 Q Dr. Whelan also testified that VAERS indicates that the  
3311 boy's injury was a cardiac arrest and gives no clue to the ultimate  
3312 outcome and VAERS is not set up to acknowledge a change in outcomes  
3313 like this.

3314 And you mentioned already that you were involved in the creation  
3315 of FAERS, is that right?

3316 A Yes.

3317 Q And FAERS is set up to acknowledge changes in an  
3318 individual report; is that correct?

3319 A Yes, serial reports is my understanding, but yes.

3320 Q Are you aware whether -- are you able to verify that  
3321 VAERS is not able to set up --

3322 A I am not.

3323 Q If it is not, as Dr. Whelan has reported and as this  
3324 article from the BMJ indicates, should VAERS be set up to acknowledge

3325 changing outcomes the way that FAERS is?

3326 A Ideally, any adverse -- any spontaneous reporting system  
3327 should be able to keep up to date on the status of the case, and help  
3328 people report and provide updates.

3329 Q Do you know how many FDA staff are responsible for  
3330 following up on serious VAERS reports?

3331 A No.

3332 Q Dr. Daniel Jernigan, CDC's director of the National  
3333 Center for Emergency and Zoonotic Infectious Diseases, testified to the  
3334 Select Subcommittee that, "Every serious adverse event in VAERS is  
3335 followed up, medical records are collected and autopsy records are  
3336 collected to identify that."

3337 To your knowledge, is every serious adverse event in VAERS  
3338 followed up on?

3339 A Sorry, I don't have knowledge of that.

3340 Q Thank you. If you look back on the BMJ investigation,  
3341 the heading that says Two VAERS - only one public. It's on page 4.

3342 A Mm-hmm.

3343 Q I will give you a second after I read this quote, but it  
3344 explains that, "FDA and CDC essentially maintain two separate VAERS  
3345 databases: a public facing database, containing only initial reports;  
3346 and a private, back end system containing all updates and  
3347 corrections - such as formal diagnosis, recovery, or death."

3348 A Okay.

3349 Q And as you mentioned, you don't have a lot of direct

3350 knowledge of VAERS, but do you know if that is accurate?

3351 A Honestly, I don't know the administrative aspects of  
3352 VAERS. I just don't.

3353 Q Okay. Thank you. And then the third paragraph from the  
3354 bottom. Let me read this quote to you, and then I will find the actual  
3355 document here.

3356 A Okay.

3357 Q But a physician called Helen, for the purpose of the  
3358 article, argues that there is a "negative feedback group" whereby "the  
3359 FDA is not naming additional adverse reactions to vaccines because the  
3360 passive surveillance systems aren't displaying it. But the passive  
3361 surveillance systems aren't displaying it because physicians are  
3362 blinded to the adverse reactions in their patients, and thus aren't  
3363 reporting them."

3364 Are you familiar with this negative feedback loop idea?

3365 A I don't understand this statement. Physicians are  
3366 blinded to the adverse reactions in their patients?

3367 Q I was curious, and the reason I bring it up to you is  
3368 because I'm curious of your thoughts about this topic. And you  
3369 mentioned a little bit earlier, where physicians are having a hard time  
3370 identifying conditions because the symptoms are not strongly associated  
3371 with a particular disease or outcome, right? Is that maybe what this  
3372 doctor called Helen is referring to there?

3373 A I have no idea what she is referring to. That's why I  
3374 said, it's a very confusing sentence to me. I don't know what that



3375 means.

3376 Q Okay. Just switching gears a little bit, are you  
3377 familiar with the case of Maddie de Garay?

3378 A I know about it.

3379 Q Who is she, to your recollection?

3380 A A minor who was in a trial of the COVID -- Pfizer COVID  
3381 vaccine.

3382 Q And do you remember when you were first made aware of her  
3383 condition?

3384 A No.

3385 Q I will introduce Majority Exhibit 13.

3386 [Majority Exhibit No. 13 was  
3387 identified for the record.]

3388 BY MR. SPECTRE.

3389 Q If you would flip to the page marked FDA 2022, 4101, it  
3390 ends with 133. I believe that's a little hard to read also because  
3391 it's red on black, but it's the first page that looks like an email.

3392 A Okay. All right.

3393 Q You'll see an email from Patrick de Garay which was  
3394 forwarded to you on June 25, 2021 by Steve Kirsch regarding Maddie de  
3395 Garay condition. The email from Patrick de Garay to Steve says,  
3396 "Steve, been a little crazy here since Maddie's last MRI, she's  
3397 struggling to hold her head up and can't stand on her own. Stephanie  
3398 will resend the folder today."

3399 Do you recall receiving this email?

3400 A I don't.

3401 Q You replied, "I forwarded the last email you sent to the  
3402 team, and of course, FDA evaluates every serious adverse event related  
3403 to a clinical trial, and intensively if in a healthy population."

3404 I assume that by "in a healthy population," a minor who was  
3405 otherwise healthy.

3406 A Preventive, yeah.

3407 Q Is that what you meant by that?

3408 A I meant, generally speaking, clinical trials of people  
3409 are treating an illness, they're sick. Here, you're treating generally  
3410 healthy -- intervening to prevent something.

3411 Q That makes sense. So you said "FDA evaluates every  
3412 serious adverse event." What kind of evaluation was done in this case,  
3413 if you can recall?

3414 A My question is, to what extent am I allowed to talk about  
3415 this due to HIPAA?

3416 Q These emails were released under FOIA.

3417 A But you're asking me to expand on that.

3418 Mr. Cooke. If you're not sure that you can answer the  
3419 question --

3420 The Witness. Just very generally.

3421 Mr. Cooke. I think ultimately, if you know that you can't speak  
3422 to things that are covered by HIPAA, I think you should not speak to  
3423 those things.

3424 The Witness. I would say I forwarded this. So people were aware

3425 of this case. They had intensively investigated it. They had been in  
3426 contact with the treating physician, which is the typical way, and been  
3427 having conversations.

3428 As usual, I stayed out of it in the sense that it was being  
3429 handled in the ordinary way that these types of things would be  
3430 handled.

3431 BY MR. SPECTRE.

3432 Q Thank you. On the next page, down to 134, this email  
3433 from Doran Fink at 9:32. My apologies, that email is on the following  
3434 page that ends in 135. That is an email at 9:32 a.m. from Doran Fink.

3435 Mr. Cooke. Bottom of the page.

3436 The Witness. Okay.

3437 BY MR. SPECTRE.

3438 Q Dr. Fink asks for an update on where things stand on  
3439 Maddie de Garay case, so he can "get back to Peter Marks and Janet  
3440 Woodcock."

3441 Donna Boyce replies at 9:49 a.m., confirming that Maddie was a  
3442 participant in the Pfizer group COVID-19 trial. She also said that,  
3443 "Dr. Alejandra Gurtman spoke with Dr. Frenck who is the Principal  
3444 Investigator at Cincinnati's Children's today and confirmed that this  
3445 case is not related to the vaccine, and that the participant had  
3446 extensive work up with consultations with various specialties,  
3447 including pulmonary, neurology, pain management, and psychiatry with no  
3448 findings of anything organic."

3449 Dr. Fink eventually forwards the information from Pfizer to

3450 Dr. Marks who forwards it to you. You reply, "Thanks," at the top of  
3451 the page there.

3452 Do you happen to recall these emails?

3453 A Yes, I recall that they got back to me that there had  
3454 been this activity, mm-hmm.

3455 Q Thank you. Did FDA conduct any further evaluation of  
3456 this case?

3457 A I can't say specifically.

3458 Q Because you don't know?

3459 A I asked them to also talk to the investigator themselves.  
3460 I do not know the follow-up from that. I received verbal follow-up, I  
3461 think, from Peter that this had been evaluated further.

3462 Q And generally speaking, the FDA would want to evaluate  
3463 further than just taking this email at face value; is that correct?

3464 A Yes, for something serious in a clinical trial.

3465 Q And you believe that that occurred in this case?

3466 A Yes. Well, it says, "It was also presented to the ACIP  
3467 working group and many other recommending bodies," yeah. But, yeah,  
3468 usually we would get the records ourselves or we talk to the  
3469 investigator. I believe that occurred.

3470 Q So you do believe that FDA sufficiently evaluated this  
3471 case?

3472 A To the extent that FDA could. FDA cannot go and do scans  
3473 themselves and so forth. We have to take the -- I saw more records,  
3474 okay, of this. We have to take the assessment of the treating

3475 clinicians.

3476 Q Can you say what the FDA's official determination was in  
3477 this case?

3478 A No, I can't.

3479 Q But it made an official determination?

3480 A I don't know what an official determination is. I think  
3481 they evaluated the -- they evaluated the workup that had been done by  
3482 the treating clinicians in this case.

3483 Q So the FDA did, in some way, evaluate whether there was a  
3484 causal relationship between the vaccine and Maddie de Garay condition?

3485 A Yes.

3486 Q And you're just not able to share that because you don't  
3487 recall or because it's private?

3488 A Based on the workup that was done by the patient's  
3489 doctors.

3490 Q I think you've already said that it was common for you to  
3491 interact with members of the public regarding vaccines; is that  
3492 correct?

3493 A Yes.

3494 Q So this isn't an uncommon interaction you had here?

3495 A Not uncommon at all.

3496 Q Can you remember other specific instances that you spoke  
3497 with people about?

3498 A Certainly. I had many, many people who had various  
3499 concerns or reactions. I usually would send them to the team. I would

3500 follow up with Doran Fink or with other members, depending on what the  
3501 case was, Steve Anderson or whatever, make sure they had followed up on  
3502 what I sent them, so I could get back to the people who had written in  
3503 to me, and say, I looked into this, it's being evaluated or worked up  
3504 or handled.

3505 Q Okay. Thank you very much.

3506 A That was part of my job, I think.

3507 Q Certainly.

3508 Mr. Spectre. Well, thank you. We can go off the record with  
3509 that.

3510 [Whereupon, at 3:20 p.m., the taking of the instant interview  
3511 ceased.]